

UNITED STATES DEPARTMENT OF DEFENSE

DEFENSE HEALTH BOARD

CORE BOARD MEETING

Fairfax, Virginia

Friday, November 13, 2009

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 PARTICIPANTS:
2 REV ROBERT G. CERTAIN
3 JOHN DAVID CLEMENTS, Ph.D.
4 WILLIAM E. HALPERIN, M.D.
5 EDWARD L. KAPLAN, M.D.
6 WAYNE M. LEDNAR, M.D.
7 RUSSELL V. LUEPKER, M.D.
8 THOMAS J. MASON, Ph.D.
9 DENNIS O'LEARY, M.D.
10 MICHAEL N. OXMAN, M.D.
11 MICHAEL D. PARKINSON, M.D.
12 GREGORY A. POLAND, M.D.
13 ADIL E. SHAMOO, Ph.D.
14 JOSEPH SILVA JR., M.D.
15 DAVID H. WALKER, M.D.
16 ALLEN W. MIDDLETON

17 COMMANDER EDMOND FEEKS
18 VICE ADMIRAL JOHN MATECZUN
19 KENNETH W. KIZER, M.D.
20 FRANK BUTLER, M.D.
21 COLONEL JOANNE MCPHERSON
22

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 PARTICIPANTS (CONT'D):

2 COMMANDER JIM HANCOCK

3 CHARLES SCOVILLE

4

5

6

7

* * * * *

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 P R O C E E D I N G S

2 (7:45 a.m.)

3 DR. LEDNAR: Good morning, everybody.

4 I'm Wayne Lednar, one of the two Vice Presidents
5 of the Defense Health Board. Welcome to day two
6 of our Defense Health Board meeting. What I'd ask
7 is for Mr. Middleton as our Designated Federal
8 Official with us today, Mr. Middleton, if you
9 would please open the meeting.

10 MR. MIDDLETON: Good morning. As the
11 Alternate Designated Federal Official for the
12 Defense Health Board, a federal advisory committee
13 and a continuing independent scientific advisory
14 body to the Secretary of Defense via the Assistant
15 Secretary of Defense for Health Affairs and the
16 Surgeons General of the military departments, I
17 hereby call this meeting of the Defense Health
18 Board to order.

19 DR. LEDNAR: In keeping with the
20 practice of the Defense Health Board as we meet,
21 we'd like to take a moment to stand in silence to
22 remember and recognize those whom we serve.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 (Moment of silence.)

2 DR. LEDNAR: Thank you. Please take
3 your seats. This is an open session meeting of
4 the Defense Health Board. What I'd like to do is
5 to have all of us here in the room introduce
6 ourselves. If you would please state your name,
7 your affiliation and if you are a member of the
8 Defense Health Board, if you would also include
9 the kind of position that you serve with the
10 Defense Health Board. If I might ask Mr.
11 Middleton, would you mind starting and we'll go
12 around this way?

13 MR. MIDDLETON: I'm Allen Middleton.
14 I'm the Acting Principal Deputy Assistant
15 Secretary of Defense for Health Affairs.

16 DR. POLAND: I'm Greg Poland, Professor
17 of Medicine at the Mayo Clinic, in Rochester,
18 Minnesota, one of the VPs of the Board and Chair
19 of the Infectious Disease Control Subcommittee.

20 Col BADER: Good morning. Christine
21 Bader. I serve as a Senior Advisor to the
22 Assistant Secretary of Defense for Health Affairs.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 REV. CERTAIN: I'm Robert Certain.
2 I'm an Episcopal priest in Atlanta and retired Air
3 Force Chaplain.

4 DR. HALPERIN: Bill Halperin. I'm Chair
5 of the Department of Preventive Medicine at the
6 New Jersey Medical School and Chair of the
7 Subcommittee on Occupational and Environmental
8 Health for the Board.

9 DR. KAPLAN: I'm Ed Kaplan, Professor of
10 Pediatrics at the University of Minnesota Medical
11 School, a Core Board member, and a member of the
12 Infectious Diseases Subcommittee.

13 DR. OXMAN: I'm Mike Oxman, Professor of
14 Medicine and Pathology at the University of
15 California, San Diego, a Core Board member, and a
16 member of Infectious Diseases and Pathology
17 Subcommittees.

18 DR. PARKINSON: Good morning. Mike
19 Parkinson. I'm Past President of the American
20 College of Preventive Medicine and currently
21 working with a number of health care organizations
22 on quality and performance. I'm a Core Board

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 member and also a member of the Subcommittee on
2 Health Care Delivery.

3 DR. KIZER: Good morning. I'm Ken
4 Kizer. I'm the Chairman of the BRAC Advisory
5 Committee that we'll be hearing from later this
6 morning.

7 DR. SHAMOO: I'm Adil Shamoo, Professor
8 at the University of Maryland School of Medicine
9 and member of Health Care Delivery and Chairman of
10 the Medical Ethics Subcommittee.

11 COL MOTT: Colonel Bob Mott from the
12 Army Surgeon General's office. I'm the Army
13 liaison.

14 COL KRUKAR: Good morning. I'm
15 Colonel Michael Krukar, the Director of the
16 Military Vaccine Agency.

17 COL HACHEY: Wayne
18 Hachey, Director of Preventive Medicine, OSD
19 Health Affairs, Force Health Protection and
20 Readiness.

21 CDR SCHWARTZ: Commander Erica
22 Schwartz, Coast Guard Preventive Medicine liaison.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 LtCol GOULD: Lieutenant
2 Colonel Philip Gould, Air Force Liaison.

3 LCDR SPRINGS: Lieutenant
4 Commander Julia Springs, U.S. Marine Corps
5 Health Services.

6 CAPT LEE: Captain Roger Lee. I'm on
7 the Joint Staff, J4 Health Service Support
8 Directorate.

9 CDR SLAUNWHITE: Good morning.
10 I'm Commander Cathy Slaunwhite. I'm a Canadian
11 Forces medical officer in a liaison role at the
12 embassy in Washington, D.C.

13 CAPT NAITO: Captain Neal Naito, Navy
14 service liaison.

15 Col MCPHERSON: Colonel Joanne
16 McPherson. I'm the Executive Secretary for the
17 DOD Task Force on the Prevention of Suicide by
18 Members of the Armed Forces.

19 DR. BUTLER: Good morning. Frank
20 Butler, Chair of the Committee on Tactical Combat
21 Casualty Care and today sitting in for Dr. John
22 Holcomb, Chair of the Trauma and Injury

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Subcommittee.

2 DR. FOGELMAN: Charlie Fogelman, Chair
3 of the Psychological Health Subcommittee on the
4 Board.

5 DR. WALKER: David Walker, Chair of the
6 Department of Pathology at the University of Texas
7 Medical Branch at Galveston and a member of the
8 Core Board and Infectious Disease Control
9 Committee.

10 DR. SILVA: Joe Silva, Professor of
11 Internal Medicine and Infectious Diseases,
12 University of California at Davis, and Dean
13 Emeritus, Core Board member and also member of the
14 Infectious Diseases Subcommittee.

15 DR. O'LEARY: Dennis O'Leary, President
16 Emeritus of the Joint Commission, a Core Board
17 member, and member of the BRAC Subcommittee.

18 DR. MASON: I'm Tom Mason, Professor of
19 Occupational and Environmental Health, the
20 University of South Florida College of Public
21 Health and I'm a member of the Subcommittee on
22 Environmental and Occupational Health.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. LUEPKER: I'm Russell Luepker and
2 I'm Professor of Epidemiology and Medicine at the
3 University of Minnesota, I'm a member of the Core
4 Board and member of the Health Delivery
5 Subcommittee.

6 DR. CLEMENTS: John Clements. I'm Chair
7 of Microbiology and Immunology at Tulane
8 University School of Medicine and also Director of
9 the Tulane Center for Infectious Diseases. I'm on
10 the Core Board and a member of the Infectious
11 Disease Subcommittee.

12 VADM MATECZUN: Admiral John
13 Mateczun, Commander of Joint Task Force CapMed and
14 appreciative user of your services.

15 CDR FEEKS: Good morning.
16 Commander Ed Feeks, Executive Secretary to the
17 Defense Health Board.

18 DR. LEDNAR: Wayne Lednar, Vice
19 President of the Defense Health Board and Global
20 Chief Medical Officer of DuPont. If we can also
21 do introductions in the remainder of the room.

22 COLONEL MCCLOUD: I'm David McCloud,

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Colonel retired, Army Medical Corps, and I am the
2 Chief of the Prosthetic Cancer Research Project at
3 Walter Reed and I'm very fortunate in having what
4 we're trying to define as a world-class operation,
5 not bragging here, but we value what we do and
6 I've been in this military for 45 years.

7 DR. COHOON: I'm Barbara Cohoon. I'm
8 Deputy Director of Government Relations for the
9 National Military Family Association, and I'm also
10 a member of the TBI Family Care Giver Panel which
11 was briefed yesterday, and thank you for accepting
12 our curriculum. Also I'm a member of the Health
13 Care Subcommittee and the TBI Subcommittee.

14 DR. WARD: I'm Claudine Ward. I'm a
15 Preventive Medicine Resident at the Uniformed
16 Services University.

17 MR. RAYBOLD: Ridge Raybold, Office of
18 the Director, Armed Forces Institute of Pathology.

19 MS. JOVANOVIC: Good morning. I'm
20 Olivera Jovanovic. I'm DHB support staff.

21 MS. CAIN: Christina Cain, DHB support
22 staff.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 LtCol HOBBS: Laurie Hobbs, OSD Health
2 Affairs.

3 COLONEL EDWARD: Colonel Adolphe Edward,
4 Chief of Staff at JTF CapMed.

5 MS. JARRETT: Lisa Jarrett, Defense
6 Health Board support staff.

7 MS. GRAHAM: Elizabeth Graham, DHB
8 support staff.

9 DR. LEDNAR: Thank you. Again, welcome
10 to everyone. Before we start our first agenda
11 item, Commander Feeks has some administrative
12 remarks that he'd like to share. Commander Feeks?

13 CDR FEEKS: Thanks, Dr. Lednar.
14 This is Commander Feeks. Good morning and welcome
15 everyone. Thank you for being here. I'd like to
16 thank the Hyatt Fair Lakes Hotel for helping with
17 the arrangements for this meeting. I'd like to
18 thank all the speakers who have worked hard to
19 prepare briefings for us. I'd like to thank my
20 staff, Jen Klevenow, Lisa Jarrett, Elizabeth
21 Graham, Olivera Jovanovic, Christina Cain, and
22 back at the home office, Jean Ward for arranging

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 this meeting of the Defense Health Board. If you
2 have not already done so, please do sign the
3 general attendance roster on the table outside.
4 The law requires us to keep a record of who
5 attends the meetings. For those who are not
6 seated at the tables, there are handouts provided
7 on the table at the far end of the room.
8 Restrooms are located down this way and turn left.
9 If you need telephone, fax, copier or message
10 services, please see Jen Klevenow or Elizabeth
11 Graham. If you would please put your personal
12 electronics in a silent mode for the duration of
13 the session. Because this open session is being
14 transcribed, I'd like to call your attention to
15 Ms. Christine Allen over on my right. Notice
16 that she is not typing. She is recording. So the
17 person who's going to type this transcript will
18 not recognize the sound of your voice, so each
19 time you speak, please say your name at the
20 beginning.

21 Refreshments will be available for both
22 the morning and afternoon sessions. We will have

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 a catered working lunch here for the Board
2 members, ex officio members, service liaisons,
3 allied liaisons, support staff and speakers and
4 distinguished guests. For those looking for lunch
5 options, there is a restaurant here in the hotel.
6 There are numerous other options both sit-down
7 dining as well as fast food very close-by. Just
8 ask a member of the hotel staff about that.

9 For those who need to take the Metro
10 after this meeting, the hotel operates a
11 complementary shuttle to the Vienna Metro station
12 every 30 minutes, so please see the shuttle
13 schedule at the registration desk or the hotel
14 front desk for information about that.

15 The date and location of our next
16 meeting has yet to be determined, but at that
17 meeting the Board will receive updates from the
18 various subcommittees and consider various issues
19 for recommendations to the Secretary. That's all
20 I have. Dr. Lednar?

21 DR. LEDNAR: Thank you, Commander Feeks.
22 We'll go into now our first agenda item, and the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 board is grateful to have with us today Vice
2 Admiral John Mateczun, Commander of the Joint Task
3 Force, National Capital Region Medical. Admiral
4 Mateczun has served as Joint Staff Surgeon and
5 medical advisor to the Chairman of the Joint
6 Chiefs of Staff as well as U.S. delegate to the
7 NATO Committee on Chiefs of Medical Services.
8 Present at the Pentagon on September 11, 2001, he
9 subsequently served on the Joint Staff during
10 Operations Noble Eagle, Enduring Freedom and Iraqi
11 Freedom. Vice Admiral's Mateczun's ensuing flag
12 assignments were as Chief of Staff, Bureau of
13 Medicine and Surgery, Commander of Naval Medical
14 Center, San Diego, and Deputy Surgeon General of
15 the Navy. He has also served as Director of the
16 Military Health System Office of Transformation
17 and is a member of the congressionally mandated
18 Task Force on the Future of Military Health
19 Systems. Admiral Mateczun's awards include the
20 Navy Distinguished Service Medal, Defense Superior
21 Service Medal with Oak Leaf Cluster, Legion of
22 Merit with Three Gold Stars, Bronze Star, Defense

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Meritorious Service Medal, Meritorious Service
2 Medal with Gold Star, Navy- Marine Corps
3 Commendation Medal, Army Commendation Medal and
4 Navy-Marine Corps Achievement Medal. He has many
5 ribbons. Today he will share with us the DoD
6 response to the Achieving World-class report
7 developed by the NCR BRAC Advisory Panel of the
8 Defense Health Board as well as a progress report
9 on the Walter Reed National Military Medical
10 Center. Thank you for joining us, Admiral
11 Mateczun.

12 VADM MATECZUN: Good morning,
13 Dr. Lednar and Dr. Poland. Thank you. Mr.
14 Middleton and distinguished member of the Defense
15 Health Board, guests who are here today. It's my
16 pleasure to be able to present to you the
17 Department's response to the Defense Health
18 Board's Subcommittee report on achieving world
19 class and progress at the Walter Reed National
20 Military Medical Center. Today I hope to provide
21 you a briefing and overview of the Department's
22 response to the report and give you an update as

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 well on how some of the projects are going and
2 then be able to answer any questions or engage in
3 any discussion that you might have.

4 Background. In 2005 BRAC seems like a
5 long time ago. 2007 and borne out of crisis, you
6 may remember the "Washington Post" articles on
7 Walter Reed Medical Center of February 2007,
8 subsequent Dole-Shalala independent review group
9 and other commissions that reviewed the situation
10 within the National Capital Region. The
11 Department had a National Capital Region Senior
12 Oversight Committee to review those
13 recommendations and take action. One of the
14 recommendations was that there be a coordinating
15 agency to oversee the BRAC realignments and
16 integrate health care delivery within the National
17 Capital Region. So the Joint Task Force was
18 formed in September 2007, chartered by the Deputy
19 Secretary of Defense, then Secretary England.

20 In November 2008 the FY 2008 NDAA
21 required an independent review of the designs and
22 plans for the new medical center to determine

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 whether they would be world-class and that Panel
2 submitted their independent review to the DoD and
3 Congress in July 2009. Subsequent to that, the
4 Department did an extensive review of the
5 recommendations and findings of the Panel. I will
6 tell you if you've seen the report, and I think
7 most of you have, it's an extensive response.
8 Usually the Department's response to
9 Congressionally mandated reviews are a page or
10 two. This was a very thorough review of all the
11 recommendations that were made and I can tell you
12 that it was coordinated at the highest levels.
13 One of the things that's happening in the
14 Department is there are a large number of new
15 political appointees coming in to prominent
16 positions, the Secretaries of the Services, the
17 new Under Secretary for Personnel Readiness of
18 course was just nominated, but all of these
19 personnel wanted to personally review this and I
20 spent a lot of time talking with a lot of people
21 about the background and history of what was going
22 on, so there is a lot of interest in the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Department about this report and what's happening.

2 Additionally, since the Department's
3 response has been submitted and included in the
4 fiscal year 2010 NDAA as a follow on requirement
5 to see how the Department is continuing to develop
6 and implement those things which were recommended
7 in the report so that the report was ready clearly
8 by Congress as well who took a lot of the findings
9 and recommendations, turned them into law and
10 we'll be responding to those laws as we go
11 forward. In particular, we have to develop a
12 master plan. We have to recertify some things
13 that they required previously, in particular that
14 we haven't moved anything from Walter Reed until
15 we have capabilities elsewhere. And we have to
16 make sure that everything meets JCAHO standards and
17 provide an assessment of risks and benefits to
18 patient care associated with completing the
19 realignment. It also requires a schedule for
20 completion of requirements in our master plan and
21 an updated cost estimate to provide world-class
22 care within the National Capital Region.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 We're working on those reports now.
2 This is the conceptual drawing of Bethesda that
3 you've seen. Actually, the pictures that you see
4 look kind of like that now. I'm getting ready to
5 go talk to the Chairman and the Joint Chiefs of
6 Staff again on our progress toward the completion
7 of the BRAC and this is a review of the
8 construction that's going on on the Bethesda
9 campus. Two major construction projects, the top
10 two bullets there, the top two green lights, are
11 RFP 1. That's the big buildings on either side of
12 the tower at the Bethesda campus. Construction is
13 really going along. It's getting close to
14 two-thirds complete within the construction. Down
15 at the bottom is RFP 2. The contract was awarded
16 on RFP 2 and broke ground last Friday to start
17 construction of those projects, and those are
18 mostly administrative and support buildings
19 particularly to take care of the wounded warriors.
20 I got a couple of slides in the back and I'll show
21 you some of the pictures of what's happening
22 there. All of that construction is on or ahead of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 schedule, not just on schedule. We're about 5
2 months ahead of schedule on the Bethesda campus on
3 construction, about 7 months ahead of schedule on
4 the Fort Belvoir campus on construction. You see
5 one yellow light up there, and that is that while
6 we're doing new construction, we're also doing
7 renovations within the existing hospital buildings
8 on the Bethesda campus. Those are just started.
9 They require a series of moves. The Deputy
10 Secretary required that we minimize the impact on
11 patients and staff and so there is temporary swing
12 space that's been put up. Orthopedics, physical
13 therapy and pediatrics moved into those new spaces
14 and now we're working through the renovations.
15 They are only 19 percent complete and as we're
16 opening up the walls we're finding that there is
17 more to be fixed than we thought, so that's
18 creating some schedule risk, but we're still on a
19 timeline to make it through the end of the BRAC,
20 so minimal construction risk, a little bit of
21 schedule risk left on the Bethesda campus.

22 You can see the number of projects that

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 are going on. Those things that are up in blue at
2 the top are the new contracts on which
3 construction just got started. The kind of green
4 down at the bottom are the big Buildings A and B
5 for RFP 1, and a 943 space parking garage which
6 will be open in a couple of months we hope to
7 start to alleviate the parking congestion that's
8 going on here on campus.

9 A couple of other things that are going
10 in, you see some things in yellow that have been
11 proffered by outside agencies, the Intrepid Center
12 of Excellence for Traumatic Brain Injury,
13 Psychological Health going up over on the
14 right-hand side of the campus with construction
15 going along very well. Should be finished within
16 the year. And then the Fisher Foundation has
17 donated three new Fisher Houses on the site where
18 we had an old officer's club and gymnasium and
19 those are being torn down and those Fisher Houses
20 will be let, and then this year we'll start
21 construction on that red parking garage up there
22 that you see as well. So there's a lot of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 construction going on all around the campus.

2 This is the Fort Belvoir Community
3 Hospital. It will be the country's leading
4 proponent of evidence-based design. It is well on
5 schedule, actually ahead of schedule so that we'll
6 have a significant amount of time for transition
7 down to the Fort Belvoir Hospital. It's working
8 from the outside in and so the clinic building is
9 on the outside and the parking garages are more
10 complete than the central inpatient ancillary
11 services tower, so those will be going along
12 perfectly well. There is still some cost risk
13 here because of the contracting vehicle which is
14 known as integrated design bid/build, IDBB, and so
15 we're finalizing those prices now in terms of the
16 contract.

17 For those of you who are familiar with
18 Northern Virginia, this footprint is about the
19 size of the Springfield Mall not very far from
20 here and from one of the parking garages into the
21 middle is about the length of an aircraft carrier
22 for those of you who might be a little more

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 nautical, so it's two aircraft carriers pointing
2 toward the middle there in terms of size. It's an
3 extraordinary campus. There are a couple of great
4 features here. I know that the Subpanel knows a
5 lot of the evidence-based design features that are
6 going into this. But also you'll see that in back
7 of those clinic buildings there is room to expand.
8 This is all green space construction and so we
9 were able to provide space in the back for future
10 expansion if that's necessary, and our population
11 is certainly drifting south within the National
12 Capital Region along the 95 corridor here, so we
13 anticipate that there will be even further growth
14 of this part of the campus in the future.

15 That's a quick update on the
16 construction projects that are going on. I'll
17 tell you that construction is part of what we need
18 to do. We're green on construction. You also
19 have to put gear and outfit these buildings once
20 they're completed, and we anticipate that we'll
21 have a contract out for outfitting before the end
22 of this months. It turns out that this is an area

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 where there is tremendous private-sector
2 competence and it's big business. This will be
3 about a \$400 million contract, and what we've done
4 is put together the outfitting contracts for both
5 of these hospitals so we'll have standardized
6 equipment, we'll gain economies of scale in their
7 purchase, their maintenance contracts, we'll gain
8 economies on those because we'll be able to do it
9 across systems, and we're trying to standardize
10 patient safety items so that as you move from one
11 of the hospitals to the other you're able to know
12 the equipment and work through the patient safety
13 aspects of that without having to orient
14 completely to a new hospital.

15 I'll move into the Department's review
16 of the Defense Health Board's Subcommittee's
17 report. This is an executive summary if you will
18 of the findings maybe a little bit reordered, but
19 we're pleased that the Board that we thought that
20 we could continue with construction and
21 renovations and correct those deficiencies which
22 the Defense Health Board had identified, and we're

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 certainly in the process of doing that.

2 Secondly, the Board identified an
3 authorities issue as foundational. This primarily
4 has to do with the relationships on the Bethesda
5 campus. They recommended empowering a single
6 official with complete organizational and budget
7 authority in the NCR, and I'll come back to that
8 with what the Department is doing.

9 Develop a comprehensive master plan.
10 This has to do with both our vision for providing
11 integrated delivery systems of care for our
12 patients here within the NCR as well as what the
13 facilities on particularly the Bethesda campus
14 will look like in the future and how we'll be able
15 to achieve world-class through that master plan.
16 We need to engineer an integrated military health
17 care culture, more fully incorporate clinician and
18 end user input into plans, and then evaluate the
19 design and build processes that we're using in
20 these two hospitals for future use in the military
21 health system. We didn't build any hospitals
22 within the military health system for about 10

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 years. These are the first two new hospitals that
2 we've built, and we've got eight new ones coming
3 on in the next 5 years. So it is critical that we
4 evaluate these processes and apply them to future
5 construction projects.

6 I'll start out with what the Department
7 thinks is the right way to respond to each of
8 these recommendations. The Defense Health Board
9 Subcommittee defined world-class medical facility,
10 and Section 27.14 of the FY 2010 NDAA codifies
11 that definition. The Congress accepted that
12 definition, codified it and it's now part of law
13 in the FY 2010 NDAA. The DoD is pleased to see
14 that the designs for both Walter Reed and Fort
15 Belvoir are sufficiently close to the newly
16 defined standards to recommend construction and
17 renovation projects should be continued. Where
18 there are identified deficiencies, the Department
19 is committed to correcting design and
20 construction, provide a way forward within a
21 comprehensive master plan for future construction
22 projects within the National Capital Region

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Integrated Delivery System, and on the Bethesda
2 campus. I will tell you that there are some
3 elements of the Defense Health Board
4 recommendations that make it impossible to achieve
5 the definition of world-class within the BRAC
6 construction projects and within the BRAC
7 timeline. It was never planned that way, and so
8 now we have to adapt as things go. There has been
9 an evolution of standards that the Department has
10 used as we have gone through the BRAC process.
11 First it was the BRAC and the BRAC was in 2005.
12 The Department reviewed using its methodology with
13 the Joint Cross-Service Working Groups to make the
14 recommendations to close the inpatient facilities
15 at Fort Belvoir and out at Malcolm Grow at Andrews
16 Air Force Base and consolidate them into these two
17 new facilities. Since then, in 2007 the
18 Department's response to all of the
19 recommendations of Dole-Shalala, the independent
20 review group, resulted in what was known as
21 enhance and accelerate on the Bethesda and Fort
22 Belvoir campuses, primarily on the Bethesda

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 campus. There is some acceleration to make these
2 facilities available as early as we can get them
3 and to enhance what the BRAC vision was, so we've
4 incorporated those now into the schedule as well.
5 Now we have a new standard. This is the standard
6 for world-class which has been codified within the
7 FY 2010 NDAA, so that's a new law, and now we will
8 have to work forward to meet that definition.

9 This creates a tension between the old
10 design and the new design and the Department in
11 its master plan will have to decide how it is
12 going to approach achieving those world-class
13 definitions. In particular, there are two parts
14 of the definition that are involved here. One is
15 single-patient rooms as a standard, so on the
16 Bethesda campus they're renovating a significant
17 number of rooms and if you count the intensive
18 care and other units, well over 150 of the rooms
19 will be single-patient rooms after the renovation
20 is complete. That still leaves 203 patients are
21 in what are known as single double-patient rooms.
22 There is no additional space on the campus and so

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 to get to that standard of single-patient rooms,
2 the Department would have to involve itself in new
3 construction. Clearly that's not going to happen
4 before the end of the BRAC. But in terms of the
5 master plan, the Department will review the
6 single- patient rooms and decide whether or not
7 that is the standard and then if so how to get
8 there. Operating rooms would be another example.
9 Operating rooms are a matter of significant debate
10 as we've discovered out in the community. We had
11 a lot of surgeons out at UCLA Monday and Tuesday
12 to meet with their future OR folks and we've
13 discovered that there are a lot of commonalities.
14 We've been working in the same direction on a lot
15 of the ORs, but we will have to establish a
16 definitive standard and then work toward achieving
17 it on the campus. Once again, there's no new
18 space that's on the campus within the current
19 projects and you can't just add on to the projects
20 as they're going. We are doing other backfill
21 renovations at Bethesda. We're getting ready to
22 review the renovations of the existing ORs at

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Bethesda today to see if the plans for the future
2 can be incorporated into those plans for
3 renovation as a transitional step.

4 One official with organizational and
5 budgetary authority within the Capital Region.
6 This is one of the things that Congress is
7 requiring us to report about. Secretary Lynn in
8 his response to Congress directed the NCR OIPT,
9 and for those of you who don't know, the NCR OIPT
10 is really comprised of the former members of the
11 NCR Senior Oversight Committee in the Department.
12 So it's the Vice Chiefs of each of the Services,
13 the Assistant Secretaries for MNRA, the Assistant
14 Secretaries for Installations and the Environment,
15 the Comptroller of the Joint Staff, you name it,
16 everybody from the Department comes and they give
17 me advice on my way to the Deputy Secretary with
18 these decisions. Secretary Lynn has directed that
19 the NCR OIPT take on this issue and that they
20 define what is the Walter Reed National Military
21 Medical Center and that they review this issue of
22 how to achieve the organizational authorities we

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 need to be able to get to a world-class vision.

2 Since the Subcommittee met in January of
3 this year, the Deputy Secretary did establish
4 enhanced command authority to the Commander of the
5 Joint Task Force by deciding that each of these
6 hospitals will be operated by the Joint Task
7 Force, that is that the Joint Task Force will have
8 OPCON over these two new joint hospitals so that
9 that authority has been enhanced since the board
10 met. Right now, Health Affairs, the JTF and the
11 Services' SG are working to develop the funding
12 flow equities. A large part of being able to
13 achieve world-class is where does the money come
14 from. The Department isn't much different than
15 any other place in the world. Everybody wants to
16 influence the funding flow, all good ideas have to
17 be funded somewhere, so we're working through
18 those authorities as well.

19 Develop a comprehensive master plan.
20 The Department recognizes and endorses the
21 importance of achieving a clear and common vision
22 both for the Walter Reed campus and for the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 National Capital Region Integrated Delivery
2 System. We're already working on each of those to
3 be able to meet the Congressional mandate. That
4 master plan will include both facilities and
5 health care delivery in the region and we have to
6 have it all put together by the end of March in
7 order to get it back over to Congress. The master
8 plan will as directed by the Subcommittee work
9 toward a concept and a vision that extends beyond
10 the BRAC. It's not BRAC limited. It's how do we
11 get to world-class in the end state. As the
12 Subcommittee reports to us, it is a journey.
13 There is not a completed state of world-class and
14 those institutions certainly used as models I
15 think provide a good illustration that you just
16 don't have one plan, you have to constantly be
17 adapting that plan both in terms of facilities,
18 the services that you're going to be using for
19 patients and the whole synergies between the
20 integrated delivery system and the facilities.

21 Engineer integrated military health
22 care. It's an interesting thought. I tell you

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 I've been involved in this now for 2 years and I
2 think that everybody thinks that they know what
3 they're talking about when they say military
4 culture. I've become convinced that there is a
5 large overlap between business processes and
6 culture and that if culture is defined as the way
7 we do things around here, then our heuristic
8 devices for getting through the day are a part of
9 the culture, and so our business processes at each
10 of the hospitals are different. This is as much
11 as it is about locality as it is about the service
12 because all of our hospitals have different
13 cultures in that respect. So we're working toward
14 arriving at a place where we can work across those
15 business processes, but we've got consultants
16 working with us now on achieving the overarching
17 cultural design for this new integrated delivery
18 system. I'll tell you we've had within the
19 Department a tremendous ability to reach out and
20 have traveling fellowships that Health Affairs has
21 put together out to Mayo, to the Cleveland Clinic,
22 we've been to UCLA, we're going out to Inter-

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Mountain, Kaiser Permanente on both coasts. A lot
2 of folks would like to help us. These models are
3 all close, but I don't think that any of them
4 achieve exactly what we're looking for within our
5 culture and integrated delivery system. We have a
6 little bit of a different mission and so we will
7 make sure that we form our culture around those
8 things that are necessary for us to achieve our
9 mission.

10 Fully incorporate clinician and end user
11 input. We've been able to modify design
12 processes. We had over 160 working groups not
13 just with clinicians but with administrative staff
14 as well to take a look at the space designs for
15 the new Walter Reed and we directed the redesign
16 of some portions of the planned outpatient
17 building particularly those that are working
18 toward cancer care and the pharmacy that was going
19 to be located in the outpatient building. We're
20 moving toward a concept of integrated cancer care
21 through a comprehensive cancer center concept that
22 I'm very excited about. We have world-class

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 research and service capabilities for our
2 beneficiaries within the Military Health System.
3 We have no organized comprehensive cancer center
4 and so we are working toward the delivery of
5 services both in Walter Reed, and then we've
6 reached across the street to Dr. John Niederhuber
7 at the National Cancer Institute to work with us
8 toward achieving NCI Comprehensive Cancer Center
9 designation as we go forward. Once again this
10 won't happen coinciding with the end of the BRAC.
11 It will take us some time to get there. We are
12 committed to doing this for our beneficiaries.

13 Not everybody's suggestion gets
14 incorporated by the way into design. We went back
15 as part of our own review of how do you using
16 these design-build processes, they're in use in
17 the private sector a lot, but it used to be that
18 in the Department we took about 2 years to 3 years
19 to do the design and then the construction took
20 about 3 years. Our timeframes don't allow us to
21 that today, and even if you did, the design that
22 you had would be outdated by the time that you

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 really got it out into contract. So we've
2 overlapped those two processes, design and
3 construction, to achieve speed and it's necessary
4 but it's also very hard to manage. The sweet spot
5 for inflecting the cost curve on the Bethesda
6 campus for RFP 1 was January 2007. JTF wasn't in
7 existence until September 2007. This Subcommittee
8 didn't meet until late in 2008. So all of the
9 things that we were doing were way past the most
10 efficient time to inflect the cost curve. You
11 have to understand the requirements early, and the
12 Department has some risk here I think in the eight
13 new hospitals that it's building over the next 5
14 years if we don't manage these processes
15 efficiently and effectively, so you can't wait
16 forever for local clinician input would be I think
17 one of the lessons that I've learned. You need to
18 take as much of it as you can and kind of get
19 there. In fact, how many clinicians does it take
20 to tell you what kind of an OR you need? Every
21 surgeon I've talked to has a different idea. I'm
22 not kidding, literally, every surgeon I talk to

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 has a different idea. They tend to approximate
2 around a central theme, but the particulars are
3 different. So within the Department as we arrive
4 at what do these ORs look like, what does an ICU
5 room look like, what is evidence-based design on a
6 patient room, then moving toward a single standard
7 may help us so that we don't reinvent this at each
8 locality where there's a new hospital as we go.
9 So this is a challenge I think not just for us.
10 We do want to take the hard lessons that we've
11 learned and put them into effect throughout the
12 system for the benefit of our patients and for
13 getting these projects done on time.

14 Further recommendations. We're
15 continuing to work with those clinicians and we
16 will be incorporating their concepts into the
17 planning as we go forward from here. This is what
18 I was talking about. This is to evaluate those
19 construction processes for future MHS projects.
20 In addition, we're going to use the Fort Belvoir
21 Hospital as a design testing ground for
22 evidence-based design. I told you that it's the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 leading exponent of evidence-based design in the
2 country, and so we'll be able to test out some of
3 those concepts I think through metrics in a way
4 that you can't do in theory. We'll see how they
5 work in practice.

6 In conclusion, the Department is
7 appreciative of the Defense Health Board's
8 invaluable support and guidance. I see Dr. Kizer
9 and Dr. O'Leary, and I know members of the Panel
10 and some others, thank you so much for all of the
11 help in putting this together. It was a very
12 short timeline to try to define something that had
13 never been defined before. It's now codified into
14 law. Think of that. The Department is committed
15 to providing world-class health care in the NCR.
16 We will achieve the Panel's newly established
17 definition of a world-class medical facility and
18 we are preparing that comprehensive master plan
19 for the integrated delivery system to include both
20 facility and installation services.

21 I want to show you a couple of pictures.
22 These are where we're accelerating the projects.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 This is what the new RFP 2 will look like. You
2 see on the left-hand side of the slide is that
3 administrative building. The façade of that
4 building is the old Naval Medical Research Center
5 where all of the dive tables, if any of you are
6 SCUBA divers or saturation divers, that's where
7 all the dive tables in America were developed. In
8 fact, there's a long history on this campus of
9 cancer collaboration as well, so almost all of the
10 lung protocols that are used today were developed
11 in collaboration with the National Cancer
12 Institute on this campus.

13 Over on the right-hand side you'll see
14 the new towers that we're building for the wounded
15 warriors. Those are 300 rooms/suites that exceed
16 the ADA requirements, and an administrative
17 building there in the middle with a dining
18 facility for those wounded warriors and the ill
19 and injured who will be residing on the campus.

20 This is a picture of those towers on the
21 left- and the right-hand side in that medium
22 building. The façade is over on the right-hand

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 side. It will all be gutted and then this new
2 construction will go on in the back. It actually
3 goes down five stories. There's a hill there.
4 This was originally three different construction
5 projects, a gymnasium and parking garage complex
6 now incorporated within that admin building
7 complex.

8 That's the end of the slides. I'm
9 available for any questions.

10 DR. LEDNAR: Thank you, Admiral
11 Mateczun. The design of this morning's session
12 includes a presentation by Dr. Kizer on achieving
13 world-class, and since that's so central to the
14 discussion as Admiral Mateczun mentioned, my
15 suggestion is that we ask Dr. Kizer to make his
16 remarks and then both Admiral Mateczun and Dr.
17 Kizer together can take questions. I think some
18 of the thoughts that might be in some people's
19 minds might be addressed by some of the comments
20 that Dr. Kizer will include.

21 DR. KIZER: Good morning. Thank you.
22 Thank you, Admiral Mateczun, for those comments.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 I think that a number of your comments echoed
2 things that I was going to say, so that should
3 help catch up on some of the time.

4 Just to note a few milestones, since I
5 believe the last time that we talked or had a
6 conversation on this subject in this group was at
7 the May meeting, early or mid- May, when the
8 Subcommittee presented its penultimate report and
9 then based on feedback from the board at that time
10 made a few changes and reoriented or reformatted
11 some things and then passed it on officially to
12 the Defense Health Board at the end of May or
13 first couple says in June. Whatever transpired
14 took about a month. Then it was formally
15 delivered to the Department. I should probably
16 note that the findings of our report have
17 essentially remained unchanged since they were
18 first presented to this group in I think December
19 2008, so in essence the findings have been
20 substantially out there for much longer than might
21 appear from the official timeline.

22 As was noted, the Department formally

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 responded to the Subcommittee report in
2 mid-October and briefed Congress a few days later.
3 I should acknowledge that the Subcommittee
4 recognizes the inherent challenge in compiling a
5 composite report that so many different
6 individuals contributed to as reflected in the
7 report that John described which was quite
8 lengthy. I think the Committee also appreciates
9 and recognizes the varying levels of understanding
10 and perhaps acceptance of the fact that the future
11 is not what it used to be.

12 The President signed the National
13 Defense Authorization Act at the end of October
14 and notably a section as has already been noted in
15 that extensive bill codified the Committee's
16 definition of world-class and specified that a
17 number of other things occur. On an editorial
18 basis, I believe this is the first time ever that
19 a standard of this type has ever been put into
20 law, or at least into federal law, and I have
21 observed that everyone has been focused on health
22 care reform and perhaps some other issues, and

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 this completely went under the radar and many of
2 the folks who I would have expected to have picked
3 up on or commented on this legislation and this
4 issue remain unaware of what I think is probably
5 notable and ultimately will turn out to be fairly
6 far-reaching that the standard has been put into
7 federal law.

8 A bullet that probably should be on
9 there but wasn't when I prepared these is that the
10 Subcommittee after carefully reviewing the
11 Department's response felt compelled to comment on
12 the Department's plan of action and express some
13 of its concerns to the Defense Health Board, and
14 while the Admiral was speaking a memo was passed
15 around that notes some of the Committee's concerns
16 about the plan of action. I should note that
17 actually that when our memo was prepared was
18 formatted a little better than what is reflected
19 in the copy that you have. Something happened in
20 the electronic transmission and we'll clean that
21 up for perhaps the formal for those who are
22 concerned about how things appear.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Just a couple of other points in the way
2 of background worth noting. The Committee was
3 convened last summer. It officially met for the
4 first time in September. Although the purpose of
5 convening the Subcommittee was not necessarily to
6 do this independent review, when the Subcommittee
7 was convened it was to provide advice on the
8 creation of the integrated delivery network here
9 in the National Capital Region and subsequently
10 the Committee was additionally charged to do this
11 independent review. Notably, all but I think Dr.
12 O'Leary who is a member of the Core Board, all of
13 the member appointments have expired so the
14 Subcommittee technically does not exist and I have
15 done my best to reassure the Committee members,
16 many of whom who are very anxious to continue in
17 the role, that the delay in reappointment is not
18 due to the findings of the report or the
19 recommendations that were put forth.

20 Just a couple of other things that may
21 be relevant to context or philosophy in thinking
22 about the definition and the recommendations. We

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 certainly understood the Congressional charge of
2 being a world-class medical facility to be a
3 journey and not necessarily a specific designation
4 which Admiral Mateczun has commented on, although
5 I think the Committee may have a somewhat
6 different take on this than what was expressed and
7 we did comment in that in our memo. The Committee
8 also took the view that world-class means the best
9 of the best. This is the context or the way that
10 the term is used in other settings. Ironically,
11 if you go and do a Google search of world-class
12 medical facility, you will quickly come to over
13 100 institutions in this country that list
14 themselves without that, notably without any
15 specification of what that means or how they
16 self-determined that they were world-class. But
17 the Committee felt that as is detailed in our
18 report that much of what would constitute that can
19 be objectively measured and specified, some of it
20 cannot at least with current measurement
21 perspectives or measures that are used. There has
22 been some, or at least I have heard feedback and

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 have directly conversations that there are some
2 varying interpretations of what world-class is
3 taken to mean or what it should be taken to mean.
4 I played football in college and many of my
5 teammates were very good athletes and went on to
6 play professional football, but only a few of them
7 were ever all pro or would achieve that level of
8 world-class, and I think in the athletic arena
9 that are lots of very good, excellent athletes out
10 there who never make it to the Olympics or perform
11 at the level that would be considered world-class
12 and I think you can use that analogy in other
13 settings, and that is somewhat the context that
14 the committee viewed what world-class should be
15 taken to mean, that it's not for everybody,
16 indeed, it's for a very select few who demonstrate
17 a level of performance excellence that is truly
18 the best in the world.

19 As I noted, the Committee also felt that
20 the specifications should be objective and
21 measurable whenever possible but acknowledges that
22 a significant part, indeed, perhaps even the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 majority of what constitutes a world-class
2 facility or a world-class anything if we think of
3 a health care facility, that probably the majority
4 of what constitutes that is what we could consider
5 invisible architecture, the culture, the emotional
6 state of the folks working there, the values,
7 things that are harder to perhaps specify and lay
8 out as may be design standards for how a facility
9 is constructed.

10 With that, I don't know that we need to
11 necessarily spend time going through this.
12 Admiral Mateczun and at previous forums I think
13 we've covered many of the key findings of the
14 Subcommittee. I would underscore that the
15 Committee I thought was quite clear in its
16 recommendation about continuing construction that
17 that was a contingent recommendation. It was
18 contingent upon a number of corrective actions
19 being taken, course corrections being made and
20 other things, that it was not a blanket
21 recommendation which perhaps some have taken it to
22 mean.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Let me focus perhaps on the response or
2 the Committee's response to the report and make a
3 few points. You can read what was said. I would
4 note that what is included in this memo should not
5 be taken to be an exhaustive list of the
6 Committee's concerns about the plan of action that
7 was espoused. It should be viewed more as an
8 illustrative list of a number of issues.

9 First, I think the Committee certainly
10 appreciates what seemed to be the Department's
11 general agreement with the findings of the
12 Committee, and frankly, while couched in
13 Washington verbiage, the Department's candor that
14 the current plans will not produce a world-class
15 facility at Walter Reed, or at least certainly not
16 by 2011. I think the Committee certainly would
17 like to also commend the department for beginning
18 to transition the military health care system from
19 its historical service-specific, facility-centric
20 of care delivery to a more modern
21 integrated-service delivery model which is
22 certainly the norm today in the VA and

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 increasingly the norm in the private sector as
2 well.

3 Again the Committee after spending a
4 considerable amount of time reviewing the
5 Department's plan of action has some concerns that
6 it feels should be brought to the attention of the
7 full board and these are identified, or at least
8 illustrative ones are identified in the memo as
9 I've noted. I think first and foremost though the
10 sense that the Committee got is that the
11 Department may not have fully understood some of
12 the recommendations or the essentiality of taking
13 timely corrective action, and in viewing the plan
14 of action, perhaps the most striking observation
15 that was made essentially independently by all of
16 the Committee members was the lack of detail and
17 specific timelines, milestones, things laid out in
18 the corrective-action plan. I think the Committee
19 didn't feel particularly reassured that the needed
20 course corrections would be accomplished based on
21 reviewing the plan of action in view of the number
22 of matters that were under review or under

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 development or under study or similarly in some
2 sort of under mode or unresolved status.

3 I think the Committee was troubled by
4 this given the amount of time that our findings
5 have been out there. As I said, they've been
6 essentially unchanged since December 2008, or
7 certainly the most important ones. I think the
8 Committee was also concerned about the OIPT that
9 was identified as at least one of the primary
10 vehicles to resolve some of these issues
11 recognizing that this is an entity that has
12 existing for a long time and has had a number of
13 these issues before it and today it has not
14 seemingly brought resolution to some of these
15 matters.

16 I think I'm going to digress a bit from
17 the slide, and I'm mindful of the clock. I think
18 the Committee philosophically certainly agreed
19 with the idea that development of world-class is
20 not a destination but a journey, but I think the
21 Committee's expectations as I suspect are those of
22 the Congress were that it would be less of a work

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 in progress at this point than it is and would
2 certainly appear to be by September 15, 2011 under
3 the current course unless some changes are noted.
4 I think the Committee was also somewhat dismayed
5 by the seeming assertion that the Committee had
6 concluded that the design plans were sufficiently
7 close to the newly defined standard that
8 construction projects should continue because I
9 think that taken as stated and as reflected in the
10 plan of action does misrepresent the Committee's
11 findings and its position. To be clear, the
12 Committee in its report I think was quite clear
13 that neither the new construction nor the totality
14 of what is apparently laid out at this point would
15 result in a world-class medical facility and I
16 think it was clearly stated that the current
17 design plans were not those of a world-class
18 medical facility and the recommendation to
19 continue or not to call for a halt in construction
20 was clearly contingent on a number corrective
21 actions being taken, and I think I've already
22 said, it was a very continent recommendation.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Quite candidly, the Committee is much less
2 confident in its conclusion and its recommendation
3 in that regard after seeing the Department's plan
4 of action than it was perhaps a month ago.

5 The last point is I think the second
6 most important recommendation of the Subcommittee
7 in its report was the need to consolidate
8 organizational and budgetary authority in a single
9 entity, and where perhaps there have been some
10 steps in that direction, the Committee certainly
11 felt that this needed to happen quickly and needed
12 to get on with things, and it does not see that
13 reflected or that decisiveness reflected in that
14 Department's response and frankly feels that
15 failure to resolve this authority issue doesn't
16 portend well for further progress and indeed is
17 likely to be the cause of significant negative
18 impact going forward.

19 We've detailed illustrative concerns in
20 the memo. You can look at them. I'm mindful of
21 the clock so I'm not going to go through those.
22 Some things maybe just to highlight two or three,

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the single-patient rooms that was commented upon,
2 I think the Committee was rather surprised to see
3 that characterized in the response as a newly
4 defined department I believe was the verbiage that
5 was used. Certainly the members of the Committee
6 who have been working elsewhere have viewed this
7 as a design standard that has existed for a long
8 time. Dennis, as Chairman of the Joint Commission,
9 can comment on it perhaps further. But this is
10 hardly a new standard for world-class. This is a
11 basic design standard for any new hospital being
12 constructed anywhere. It's a minimal standard and
13 not a stretch standard by any means. The
14 Committee does recognize that there may be
15 military-specific needs to maintain a few double
16 rooms or two-patient rooms but feels quite
17 strongly that the vast majority of rooms should be
18 single-patient rooms and that would be necessary
19 to meet minimal hospital construction standard in
20 2008 or 2009.

21 As for the operating rooms, I think that
22 the Committee was pleased that the three new

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 operating rooms are likely to meet the size and
2 infrastructure requirements, but the Committee is
3 quite concerned about the 17 other operating rooms
4 that would appear to remain substandard in various
5 ways. The Committee was particularly also
6 concerned about the apparent decision to locate
7 frozen sections and surgical pathology
8 substantially remote from the operating rooms
9 which means at least if we understand things
10 correct that the surgeon is going to have to break
11 scrub, take the tissue sample, go down two floors,
12 go through public areas, get the sample and then
13 come back, all of which raises a number of
14 infection and control concerns. It raises patent
15 safety issues because as the surgeon is doing that
16 as he or she is rescrubbing to come back into the
17 sterile environment, the patient is going to have
18 to be maintained under anesthesia for longer than
19 would otherwise be necessary, and the Committee
20 while we didn't to the surgeons who were involved,
21 and I suppose it's possible, but the Committee has
22 a hard time understanding or believing that the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 surgeons who will be affected by this decision
2 would be pleased by what's envisioned. If this is
3 the decision that goes forward, there clearly will
4 be a need for some very rigorous infection control
5 and patient safety policies and procedures that
6 would have to be developed, but I would underscore
7 that the Committee continues to not understand the
8 logic for this design decision and well as some
9 other things.

10 Perhaps the last thing I would note in
11 this regard, I'm happy to address other things
12 that I've stated here or that aren't stated,
13 having to do with example information management
14 and information technology. We've spent a lot of
15 time and have had some significant concerns about
16 that particularly the funding for it, because
17 during our deliberations we heard consistently
18 that funds hadn't been allocated for the IT
19 infrastructure needs. The plan of action talks
20 about a \$50 million procurement package for
21 infrastructure that has been prepared and this is
22 one of a number of examples where what's not said

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 is of more concern I think to the Committee than
2 what was said, because what wasn't said was that
3 the procurement package has been prepared but
4 whether it is going to be operationalized or when
5 it's going to be operationalized and whether the
6 \$50 million is actually set aside, the plan of
7 action was silent and provided no information in
8 that regard. Again, this is just illustrative of
9 a number of other concerns where that type of
10 detail which one would have I think expected to
11 see in a corrective action plan was not available.

12 With all of these comments I think the
13 Committee feels that this is an extremely
14 important project not just for the Department of
15 Defense but there are much larger implications as
16 well. The Committee wants to be a constructive
17 partner and help achieve the best possible
18 outcome. We think perhaps one of the luxuries
19 that we have is that we are independent and
20 outside can put forth views that may not
21 necessarily be popular in other settings, and
22 whether it results in not being reappointed I

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 guess time will tell. With that let me stop.

2 What's the format here for going forward?

3 DR. LEDNAR: Thank you, Dr. Kizer, and
4 thanks to the Subcommittee for the very, very
5 thoughtful work in reviewing the Department's
6 position and then offering some additional
7 feedback. I've asked Admiral Mateczun if he
8 wishes to make a few comments initially to be in
9 response to the Subcommittee's report, and after
10 Admiral Mateczun has finished some comments, then
11 we will open up the floor for questions to both
12 Admiral Mateczun and to Dr. Kizer. Sir?

13 VADM MATECZUN: Thanks, Dr.
14 Lednar. I'm not sure if I'm in rebuttal or
15 surrebuttal at this point, but I'll keep an eye on
16 the time and move ahead with some quick comments
17 in how the Department works and what happens in an
18 administration. Then the Department says it's
19 committed to correcting deficiencies, that's a
20 pretty big comment. In terms of a corrective
21 action plan, that is a timeline which includes
22 funding by the way. It's just not something that

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 happens in government that quickly. As you move
2 ahead, and I frequently tell the folks who I work
3 with as we go up to the Hill and they ask
4 questions, we're in the Executive Branch of the
5 government and our answer is we support the
6 President's budget when we're up on the Hill.
7 When the President hasn't submitted a budget yet
8 which includes major portions of the program, then
9 we cannot comment, so I'm not going to comment on
10 the funding piece. I think the message here is
11 that the Department is committed to moving ahead.

12 I will say that I don't know that we
13 misunderstood the intent of the report. I think
14 that there is new construction and there were
15 deficiencies identified in that new construction
16 and it's our response that we're committed to
17 correcting those deficiencies. We may not agree
18 completely with all of the recommendations. By
19 far the substantial majority of the
20 recommendations about deficiencies I think are
21 accepted here you'll see in the Department's
22 response. That doesn't mean that we agree with

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 everything that everybody said on the
2 Subcommittee. So we'll be glad to work through
3 those in whatever forum we need to because we are
4 committed to getting to world-class.

5 The other part of it is that there's
6 clearly new construction and achieving new world
7 class in that new construction, and as the
8 Committee pointed out, there is a substantial part
9 of the infrastructure chassis at Bethesda that was
10 built in the 1950s and the 1980s. Renovation was
11 hot part of the BRAC process, and getting to world
12 class and this new standard I think is separable
13 from that. So as we take a look at achieving the
14 rest of getting to world-class on the campus,
15 those two things come together in the Department's
16 approach, not trying to avoid or evade getting to
17 world-class, but the BRAC portions of the
18 construction are not the same as achieving world
19 class in the parts of the chassis that are much
20 older. I'll stop there and will glad to debate or
21 answer any questions that you like.

22 DR. LEDNAR: Thank you, Admiral

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Mateczun. I'd invite Admiral Mateczun and Dr.
2 Kizer, why don't you comfortably return to the
3 seats. I'll act as the facilitator to keep the
4 questions in order. I would ask if first the
5 Board and then we can open it up to the floor
6 generally if you've got questions. If the
7 question is worded to either Admiral Mateczun more
8 specifically or to Dr. Kizer, if you'd please
9 indicate that in your question. So I'll take the
10 first question from Dr. Silva.

11 DR. SILVA: I don't know who's going to
12 answer this. The overheads and the printouts
13 don't give me any wisdom as to what is an RFP 1
14 and RFP 2. And each one, one is listed as 60
15 percent complete, 70 percent complete. What is
16 the status of the ORs? Are they in RFP 1 or RFP 2
17 and are they done, do you have to make
18 renovations, we have to go in there with a
19 jackhammer to pull down hard construction?

20 VADM MATECZUN: There are three
21 new operating rooms which all meet the definition
22 of world-class within RFP 1 in the building that's

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 adjacent to the current operating rooms. So the
2 new construction operating rooms are certainly
3 going to meet that world-class standard. There
4 are 17 existing operating rooms at Bethesda today.
5 Those were not part of any BRAC project. There is
6 currently a Navy planned renovation project.
7 We're working with them about the 17 ORs and how
8 we may work to start to move toward world-class
9 with the renovations that are planned there, and
10 since that's predecisional and is subject to
11 contracting, I will stop there.

12 DR. LEDNAR: Dr. Parkinson?

13 DR. PARKINSON: Thank you both, Admiral
14 Mateczun and Dr. Kizer. As I've been listening to
15 this and thinking, to quote old Steve Covey among
16 other people, begin with the end in mind. I think
17 what the Defense Health Board and what Congress
18 wants and what all of us in the Department is the
19 functionality of a world-class health care system
20 with a world-class facility as outlined in the
21 subcommittee's work. To Admiral Mateczun's point,
22 Congress works in fits and starts and does not use

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 3, 5, 10, 20 year strategic plans. So what we've
2 got is a hodgepodge of legislative authority going
3 back to the BRAC process of 2005 that has created
4 a fits and starts planning cycle which frankly a
5 leading health system would never do.

6 Having said that, if the goal in the
7 wake of everything that's happened since 2002 and
8 2005 about the awareness that the DoD and VA that
9 frankly were not measuring up to the needs of our
10 beneficiaries, I think the Defense Health Board
11 has clearly the responsibility to continue to
12 highlight the gap between what is a world-class
13 functional integrated delivery system with a
14 facility and where the Department is. It may
15 sound confrontational, but it's factual, so I
16 would just offer that as we go into this extended
17 dialogue and talk about next steps because this
18 facility should represent the best of the best of
19 world-class health care and if it's not there it
20 really doesn't matter how Congress got us into
21 this mess because they're not at the table, but
22 they are at the table. So we just need to be on

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 as brokers in an evidence-based way for what is
2 the delta and what is the Committee's and the
3 Defense Health Board's confidence that given the
4 current plans that we can bridge that gap.

5 DR. LEDNAR: Dr. Oxman?

6 DR. OXMAN: I had the privilege of
7 attending the last meeting of the Subcommittee and
8 was very impressed at the seriousness with which
9 all of the members took their charge and the
10 expertise that was brought to bear.

11 I have a concern because world-class is
12 heavily dependent on the behavior of individual
13 human beings. Physical plants don't make world
14 class, but physical plans can limit world-class,
15 and my concern with more than 20 to 30 years of
16 experience as mostly Chair of an infection control
17 committee at both a university hospital and a VA
18 hospital is that some of the points that were
19 brought forward by the Subcommittee address issues
20 which would prevent not the practice of
21 world-class medicine but which would be subpar in
22 terms of the community standards. Admiral

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Mateczun said that he had spoken to many surgeons
2 all of whom had a different idea of what the ideal
3 operating room should be, but I would vouch for
4 the fact or I would bet that none of them thought
5 that it should be 450 square feet and they were
6 concerned whether the lights would be purple or
7 green or the walls would be yellow, but I don't
8 think any of them would view the 17 existing
9 operation rooms as satisfactory in a new
10 construction hospital whether it's the private
11 sector or the VA or anywhere else.

12 The second thing is the issue of
13 surgical pathology. I think that the idea of a
14 surgeon either not participating in the evaluation
15 of surgical pathology particularly with difficult
16 cases and cancer cases or having to delay surgery
17 significantly is not acceptable to me. We have a
18 situation where we require signoff by the
19 infection control committee on all new and revised
20 construction on the final plans. I wouldn't sign
21 off on plans like that because I think either way
22 you try to solve it without physical continuity

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 will result in increased risk to the patient. So
2 those are just two examples.

3 I guess the third example is the issue
4 of command and control which is crucial and I
5 think that my sense of the Committee's report and
6 also, for what it's worth, my own opinion, is that
7 it's crucial that with respect to the Walter Reed
8 facility, an individual have both responsibility
9 and authority and fiscal authority to do the best
10 thing possible. I was particularly interested to
11 hear Admiral Mateczun show us or tell us that
12 construction is ahead of schedule and management,
13 these are my terms, management seems to be behind
14 schedule, and I think that's not a good thing.

15 VADM MATECZUN: In order, the
16 operating room question within the current
17 operating rooms, I don't think anybody would
18 disagree that 450 feet is not an optimal OR. The
19 question is how many do you need, 700, 800, 900
20 square feet and beyond? What does the space need
21 to be? Can it be more rectangular than square?
22 What we have are definitions of attributes.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 When we take those attributes and we place them up
2 against practice I will say that there are going
3 to be differences of opinion and we need to
4 accommodate. We will look again at the frozen
5 section in your question, but once again I believe
6 this is a practice and not necessarily a standard
7 of care of which there are differences, and I've
8 gotten in all honesty completely different
9 opinions on how to accommodate that piece of what
10 goes on.

11 There are only I think two things that
12 we disagreed about with the report and that was
13 one of them. The other was the replacement of the
14 dialysis unit. You try to get as many adjacencies
15 as you can. I don't disagree with that. There is
16 no perfect plan. If there were a perfect plan
17 then all hospitals would have looked that way and
18 we would have discovered it. So you're I think
19 constantly in the process of trying to adapt the
20 plans that you can or the plans that you've got to
21 the practice that you've got in a location. We
22 are facility-centric when it comes to the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 infrastructure in a lot of ways. I don't
2 disagree. We'll go back and once again take a
3 look at the frozen section problem, but I'm not
4 sure what we're going to come to on that. We
5 didn't disagree with very many things that the
6 Subcommittee recommended.

7 In terms of authorities, I will
8 challenge you that within the Department it would
9 be nice to achieve unity of effort through
10 somebody who has complete budgetary and
11 operational authority. In the Department of
12 Defense, that's the Secretary of Defense in all
13 honesty and everything below the Secretary of
14 Defense is fragmented to some degree in how we
15 align those things. So we work to achieve an
16 alignment of those authorities that will allow us
17 to get to world-class. I am certainly committed
18 to that and I'm as frustrated as anybody because
19 I'm the guy who has to work through those
20 authorities' questions.

21 DR. LEDNAR: Dr. Walker?

22 DR. WALKER: I'm a pathologist and have

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 been responsible for providing interoperative
2 pathologist consultation for 40 years and this is
3 an activity that should occur adjacent to the
4 operating room and not to do so delays proceeding
5 with taking care of the patient in the operating
6 room.

7 DR. LEDNAR: Are there additional
8 questions from the Board? Are there any questions
9 from the floor?

10 DR. MCCLOUD: Dr. McCloud. Dr. Kizer,
11 Admiral Mateczun, a lot has gone on today just as
12 to the definition of world-class and it reminds me
13 of Justice Potter Stewart ruling on an obscenity
14 case. He says pornography is hard to define, but
15 you know it when you see it. I think we would
16 know world-class when we see it. Operating rooms
17 at Memorial Sloan Kettering do that. It's
18 everywhere. And so you're going out to UCLA and
19 look at it, but right now it's not world-class.

20 The other thing, Admiral Mateczun has
21 done a great job here. I've said this to you
22 jokingly, sir, I wish he were an SES who could

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 stay on because the military treats these jobs
2 sometimes as ephemeral ones, and I'm going to
3 bring out the fact that Monday his Principal
4 Deputy, General Volpe, has just gotten orders to
5 go to Madigan, so you got to start over again, but
6 that's the way we did it in the 1960s and that's
7 the we're doing it now.

8 DR. KIZER: If I just make two comments.
9 The Committee had early on an extensive discussion
10 and used the pornography analogy in a number of
11 others in thinking through whether you could
12 define world-class, and the only thing I would
13 perhaps and disagree on is that many elements as
14 reflected in our definition of what would be world
15 class can in fact be objectively specified and
16 measured. The current performance metrics do not
17 allow all of those things to be measured. As I
18 noted, a substantial if not majority of what would
19 qualify as world-class in those entities that at
20 least appear to have, to use the statistical term,
21 face validity for being world-class, much of that
22 is brought out by the invisible architecture. It

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 is the culture. It is how people relate to each
2 other and the values and other sorts of things
3 along those lines.

4 In a different vein, I would note that
5 the Committee is I think very respectful and
6 appreciative of Admiral Mateczun's work here and
7 his efforts and is also cognizant of the often
8 difficult position that he finds himself in in
9 trying to move forward working within both the
10 military architecture as well as the government
11 architecture, it's kind of a double-whammy. A
12 number of the members of the Subcommittee
13 certainly are not naive about government and have
14 spent prolonged periods of time both wearing a
15 uniform and working in the government, so I think
16 the lens through which the Subcommittee viewed
17 things was very cognizant of the limitations and
18 constraints that are imposed upon some of the
19 folks who have to manage and deliver the product.
20 But as we say in the toxicology world, you can't
21 let risk management guide your risk assessment
22 decisions and you have to call things the way they

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 are and point out as was said the gaps and the
2 holes and then figure out how you fill those as
3 best you can.

4 VADM MATECZUN: I would say that
5 as well as the Department's response, you've got
6 the Congressional response to the Subcommittee's
7 report. They read it and enacted into legislation
8 those pieces that they considered important to
9 achieving what they think needs to be achieved on
10 the Bethesda campus as well.

11 DR. COHOON: I'm Barbara Cohoon with the
12 National Military Family Association and we've
13 been very active, our association, as far as with
14 both the new Walter Reed construction and design
15 along with Fort Belvoir, and I had an opportunity
16 when your Subcommittee first stood up to come and
17 talk to you.

18 The first time that we had an
19 opportunity as far as being part of the charette
20 at Fort Belvoir, and then I attended the charette
21 for the new Walter Reed, the design team, the
22 contractor who was hired to do the design, there

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 was a stark contrast immediately when you saw what
2 products they had to choose from. The contractor
3 for the new Walter Reed was only interested in
4 getting the job done and moving on, and as you had
5 different players from both Walter Reed and
6 Bethesda sitting in the same room trying to
7 explain to her issues that they were seeing trying
8 to merge the two departments, they wouldn't hear
9 of it. They wanted had a deadline, they wanted
10 their money and they were moving on and whoever
11 won the bid would then have to deal with what
12 happened internally. So that drove a lot of
13 issues. Even though voices were being raised,
14 there were issues going on. The contractor would
15 not listen.

16 On Fort Belvoir, it was the other way
17 around because they were staying with the product
18 the entire time, so they had what you would call
19 skin in the game and a reason as far as wanting to
20 compromise. So when you went to each charette, it
21 was interesting to see the difference between the
22 two. We brought this up, and DoD has tried very

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 hard to make that situation work, but as the
2 report was being developed, we have now had
3 administrative change and also we have a lot of
4 positions that have not been filled yet as far as
5 appointees to help push and drive a lot of this
6 change.

7 The report demonstrated that certain
8 areas needed to be fixed, but Congress is saying
9 I'm sorry, we're not going to allocate you one
10 single dime to increase the space for ORs or to do
11 the single bed patients. They're not going to do
12 that. So then that falls back on DoD as far as
13 how we try to make that work.

14 As far as the culture piece, the
15 military is the military, but it's like merging
16 Coke and Pepsi in putting the two together. So no
17 wonder when you go out into the civilian sector it
18 makes it a little bit hard to find lessons learned
19 in incorporating it into our particular piece.

20 As I mentioned before, we're seeing
21 tremendous progress as far as trying to make all
22 this work together and I spent quite a bit of time

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 at Bethesda and Walter Reed, and I'll tell you,
2 when you're inside those facilities you have no
3 idea that construction is going on around you.
4 And people who are helping you, providers, they're
5 making sure that you're being taken care of and
6 are families are getting access even though the
7 campus at Bethesda is under tremendous
8 construction. And the same thing as far as Walter
9 Reed. Even though they know they're closing, the
10 providers there are very helpful, warm and
11 friendly. When you drive by the new Fort Belvoir,
12 that place is absolutely outstanding and patients
13 are already starting to put in enrollment changes
14 as far as to go down there because they see what
15 that facility is going to be.

16 So I wanted to let you know that there
17 are a lot of other factors going on and part of
18 the reason why we are where we are is that there
19 is a lot of different pieces involved. You've got
20 Congress who dictates a lot of different things,
21 you have DoD whose hands are basically tied and
22 you have two different cultures working at the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 same time. But our families are being taken care
2 of.

3 DR. LEDNAR: Thank you for those
4 comments.

5 VADM MATECZUN: Thank you,
6 Barbara. We're tremendously appreciative of the
7 work that the National Military Family Association
8 and all of the associations. We will need to
9 incorporate those comments into the reviews that
10 the Department is doing and health affairs is
11 doing on how to use these construction processes
12 in the future with these lessons that we've
13 learned from these first efforts.

14 Let me take this as an opportunity to
15 springboard into looking at the future in a
16 speculative way and not in a response from the
17 Department. If you take a look at these two
18 issues of single-patient rooms and operating
19 rooms, they come together in the sense of probable
20 proposals for new construction. If we're going to
21 achieve single-patient rooms, then we're going to
22 need to build another 100-patient rooms some place

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 on the campus. And the operating rooms need to be
2 rethought. The concept on the campus needs to be
3 looked at so that the operating rooms that are
4 there right now and the new ones that are coming
5 on board have to be complemented with a future
6 look at the possibilities of moving outpatient
7 surgery into an ambulatory surgery complex
8 potentially to rethinking how we do the labor and
9 delivery ORs and then to incorporate that into the
10 master plan and new facility construction. I have
11 found in my many, many trips to the Hill that they
12 are tremendously supportive of making sure that we
13 have what we need to provide world-class care.

14 DR. LEDNAR: Yes, sir. If you'd please
15 introduce yourself.

16 COLONEL EDWARD: I'm Adolphe Edward
17 again. I'm going to speak as both a pathologist
18 and as a citizen. I live in Bethesda right across
19 from the National Medical Center. I also serve on
20 Montgomery County Advisory Board, so I'm familiar
21 with all the discussions.

22 I want to address an issue that I think

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 is critical and that is the idea of concepts and
2 concept integration which seems to drive a lot of
3 decisions involving BRAC and the objectives and
4 goals. I'm very concerned about Vice Admiral
5 Mateczun's distinction between a practice and a
6 standard of care. We've dealt with this
7 extensively at the State Department and at USAID
8 as a public member of the performance review
9 boards since 2007, because concepts gain meaning
10 as they become operationalized and operation is
11 performance which is practiced. You cannot define
12 the practice of continuity of a surgical pathology
13 frozen section suite close to the operating rooms
14 in a vacuum because one distinction of health care
15 as a market from the economics is that it's
16 imperfect and one of the gaps that exists is in
17 communication and the rapidity and the confidence
18 of that exchange to make decisions which affect
19 patient care. If the surgeon is not there and is
20 convinced in the interaction with the surgical
21 pathologist that this is the level of certainty
22 upon which he can act and make definitive

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 decisions, you are sacrificing quality of the
2 standard of care. So it is very important that we
3 distinguish when we talk about practice elements
4 or concepts and standard of care a concept that
5 they are not dealt with in a vacuum. They coexist
6 because, again, concepts gain meaning in practice
7 or in performance.

8 DR. LEDNAR: Thank you. Dr. Oxman?

9 DR. OXMAN: I just have one comment,
10 because one of the distressing things to me in
11 attempting to read the DoD response to the
12 Subcommittee's very specific report was the sense
13 of being in a paper bag and not recognizing that
14 there was no timeframe, there was no milestone, it
15 was not at all concrete. Thinking about this as a
16 naïve citizen and someone whose military
17 experience is in the Public Health Service at the
18 NIH and not in uniform in those days, the concept
19 of the National Capital medical facility and
20 practice is new. It's a new concept and it's
21 different from the Air Force and the Navy and it
22 requires not only new thinking and new

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 construction and new practices on the part of the
2 military personnel who are delivering medical
3 care, but it also requires a revision of the way
4 business is done in the Department of Defense so
5 that it's matched. I think probably one of the
6 Admiral's frustrations is how difficult it is and
7 how many people have to weigh in before you can
8 buy a new eraser. That culture also has to change
9 if the BRAC program is going to be successful. I
10 think that one of the things that this Committee
11 should do, that the Defense Health Board should
12 do, is try to point that out and in fact to the
13 extent that we can demand, demand those kinds of
14 changes. But the problem is that the construction
15 continues to go on and as you continue to build if
16 you then have to change later it becomes more
17 difficult, more expensive and more disruptive. So
18 this is a time-dependent process and I think the
19 Subcommittee felt urgency with respect to time and
20 that's not reflected in the DOD's response.

21 DR. LEDNAR: Dr. Silva?

22 DR. SILVA: I appreciate all the candor

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 that's been expressed today. It's been bothersome
2 for everyone. We all want to work toward the same
3 goal. As I briefly mentioned yesterday, my
4 opinion has not changed. If we're going to use
5 the terminology of world-class, it's a misnomer
6 where it sits right now. And if we're going to
7 continue to use that term in the future, it may
8 stall the appropriate processes to get to the
9 place where it can be world-class. For active
10 military, I would ask, and I'll bear to your
11 personal conscience, that the coin of the realm in
12 the military are medals. If you had a medal
13 stating world-class, would you give it to this
14 facility the way it is right now? Thank you.

15 DR. LEDNAR: Dr. Luepker?

16 DR. LUEPKER: There are attributes of
17 world-class today at Walter Reed, there are
18 attributes of world-class today at Bethesda.
19 Those will be combined into something, we'll gain
20 synergies and there will be more. When I look at
21 "US News and World Report" 's reports on the best
22 hospitals in the country, I find that they're

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 ranked differently for different services. I
2 don't think that there is one standard that you
3 achieve for everything that you do that says this
4 entity is now world-class. We've struggled with
5 that to some degree. There is a world-class
6 facility, then there's the world-class integrated
7 delivery system. I am struggling with what an
8 integrated delivery system that's world-class
9 means. I've been to the best. We are
10 extraordinarily facility-centric not just in the
11 DOD but across this country. I challenge you to
12 find a system that really does patient integrated
13 delivery of care that's oriented around the
14 patient and not our delivery system. It's
15 extraordinarily difficult.

16 When I struggle with this integrated
17 delivery system and world-class, those facility
18 pieces of it, I'm still trying to struggle with
19 how do you do the primary care piece of an
20 integrated delivery system that we integrate with
21 the specialty care. We've got some great models
22 of specialty care. We've got the Cleveland

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Clinic, we've got Mayo. We've been out and we've
2 looked at them. Their primary care systems aren't
3 necessarily as integrated as I'd like to see for
4 our patients within the delivery of care that we
5 do. It's fragmented because of the way that we
6 deliver care in America, that is that the
7 continuing, the interface between primary care and
8 specialty is a gap. And if we take a look at
9 preventive services, there's a gap there too. How
10 do we deliver those preventive services before
11 they ever get to primary care? And on the far
12 end, how do we integrate rehabilitation into that
13 integrated delivery system?

14 I don't think we're naïve about this and
15 I think that there are gaps that we have out there
16 and certainly acknowledge those gaps. I'm not
17 sure that it's not partly reflective of the
18 American system of health care as well either.

19 DR. LUEPKER: I'm reluctant to get into
20 the problems of the American health care "system,"
21 but let me say I've been troubled by this
22 discussion both yesterday and today and the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 responses by DoD. I think that our primary
2 concern is patient care and safety, and at the
3 minimum community standards. Let's not get into
4 world-class quite yet. We have to do that. It
5 concerns me that some of the things we're talking
6 about are not community standards even.

7 I'm sympathetic, and with probably not
8 enough sympathy because I live outside the
9 Beltway, to the problems that confront us, but we
10 seem to be hearing that the bureaucratic problems
11 are so difficult that we just can't do this. I
12 hear you talking about going and visiting other
13 places to find out what they do. There's nothing
14 inherently wrong with that, but you've had an
15 expert committee look at this and give you advice.
16 What I seem to be hearing and see in the reports
17 is this advice isn't what you want to hear. It
18 seems to me that this advice is to bring people to
19 basic level of standard of care that I get in
20 Minnesota and we expect around the country for all
21 of our citizens and our service members.

22 VADM MATECZUN: There is no
ANDERSON COURT REPORTING
706 Duke Street, Suite 100
Alexandria, VA 22314
Phone (703) 519-7180 Fax (703) 519-7190

1 question of standard of care. There is no metric
2 on which the current way that we deliver care is
3 measured that says that it's substandard or
4 subpar. In terms of the Department's response to
5 the committee's recommendations, we accept them
6 wholeheartedly. That there is disagreement on two
7 or maybe three very minor things I don't is
8 reflective of the fact that we're headed toward
9 adopting a solution that will get to those
10 recommendations, and if I've in some way indicated
11 that then I'm sorry.

12 As we work within the Department to be
13 able to get there, I have to work within the
14 constraints of government. That's quite true. So
15 in terms of coming up and telling you we've
16 identified the funding streams to do this, that
17 and the other, you've identified them, we've
18 identified what it takes to do that and the
19 funding is coming and here is where it's coming
20 from. Because of the way the government is
21 structured and our yearly budget and our 5 year
22 plans, it's impossible to do that. I can tell you

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 that the Department is making every effort to fund
2 those things for which corrective action is
3 identified.

4 Now to the specific deficiencies that
5 are identified in the report other than one or
6 two, there is funding on the way to fix all of
7 them. But I would take exception, I would take
8 strong exception, to any inference that we somehow
9 do not meet a standard of care for each and every
10 patient that we see. We are in the process of
11 going toward a new standard of world-class, we
12 understand that we're there, but there is nothing
13 about the care that we deliver to patients today
14 that is subpar or substandard in any way.

15 DR. LEDNAR: Dr. Kizer?

16 DR. KIZER: I was going to respond to
17 your comment before, John, or just amplify perhaps
18 a small point, but it does have some important
19 nuances. The definition that was put forth was
20 for a world-class medical facility and in there
21 facility is specifically defined as what that is
22 taken to mean. The Committee spent a substantial

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 amount of time on what might be perceived as an
2 arcane issue of trying to segment this into a
3 world-class system, a world-class facility,
4 world-class care. There are a lot of ways you
5 potentially could slice it and what was requested
6 or mandated by the Congress and what was delivered
7 was an operational definition of a world-class
8 medical facility. I think much of that could be
9 applied and has spillover if you want to look at
10 individual services or systems or other things.
11 It certainly provides a solid foundation to look
12 at it, but the definition that was developed was
13 for a facility as defined in the report.

14 DR. LEDNAR: I'm going to bring this
15 session to a close. I think we've heard a lot of
16 important input, reaction and comment. I really
17 appreciate, Admiral Mateczun, your coming to be
18 here with us yourself.

19 The sense I get is that this train is
20 moving. The construction is underway and it's
21 perhaps even ahead of schedule. The independent
22 and very thoughtful review by the DHB Subcommittee

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 has offered what really is an external sense of
2 experience for what is out there in the world
3 today as input to the Department. Dr. Kizer has
4 prepared and distributed this morning a memo that
5 I would ask each only the Board to review. I
6 would suggest that the BRAC subcommittee organize
7 its thoughts if there's anything else on the basis
8 of this discussion, Dr. Kizer, that you'd like to
9 incorporate as a thought, we can then communicate
10 that to the department so that you get that input,
11 sir. For the Board, we want to be constructive
12 and partnering with you. We recognize that we are
13 advisory, but we want to offer the best insight
14 and help we can for a successful outcome with you
15 for the beneficiaries of the department.

16 DR. KIZER: If I could make two brief
17 points. One is that when and if there is a
18 Committee to continue this, we will be happy to
19 continue this, but that's pending official
20 reconvening of the group. Secondly, and perhaps
21 not emphasized enough, the Committee would be
22 happy to engage in face-to-face discussion with

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 appropriate and relevant individuals in the
2 department if that were felt to be valuable. We'd
3 specifically discuss this, and while no one on the
4 Committee has an abundance free time, everyone
5 felt that this was important enough that should a
6 more direct communication be viewed as perhaps
7 valuable and might facilitate resolution of some
8 of these issues, either representatives or the
9 Committee as a group would be willing to convent
10 for that discussion at a mutually agreeable time.

11 DR. LEDNAR: Dr. Kaplan, and then we'll
12 ask Admiral Mateczun to give us the final word as
13 we close the session.

14 DR. KAPLAN: My question is to you,
15 Wayne. Was this meant to be an informational
16 session or is a formal action by the Board
17 indicated after this discussion?

18 DR. LEDNAR: This was intended to be an
19 exchange of information clearly to understand the
20 communications back and forth and to identify a
21 way ahead, so that there is no specific action per
22 se other than to be sure that we're communicating

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 in an understandable and constructive way to the
2 department in terms of our recommendations.

3 DR. KAPLAN: So the Board will take no
4 "official" action at this point?

5 DR. LEDNAR: The Board will take as an
6 action a communication to really clarify any
7 points that we think would be helpful for the
8 Department to have and to have in writing and to
9 do that in a timely way. As Dr. Kizer said, if it
10 would be helpful to Admiral Mateczun in the
11 process to have a more live interaction of members
12 of the BRAC Subcommittee who have expertise, that
13 remains an offer if Admiral Mateczun would find
14 that helpful.

15 DR. KAPLAN: If that's the case then the
16 revised report that Dr. Kizer has presented to us
17 this morning will be circulated to members of the
18 Board before it's sent forward for comment or
19 suggestions or not?

20 DR. LEDNAR: Yes. The communication
21 that Dr. Kizer for the BRAC Subcommittee of the
22 Board has prepared which we just received this

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 morning, we need as a Board to have a chance to
2 review that, offer any comments back, but in a
3 timely way to complete that so it can be
4 communicated by the board to the department. Dr.
5 Shamoo?

6 DR. SHAMOO: I think the only way the
7 Board can weigh in is we have to adopt a report.
8 I'm not saying the exact report. We can't just
9 discuss it and it stays up in the air. So there
10 has to be a plan of what we're going to do. The
11 Subcommittee did their job. They have submitted a
12 report for the Board for its deliberation. The
13 deliberation has happened. There could be more
14 deliberation. But then the Board has to act on
15 it, either adopt it, modify it, put a covering
16 letter on it or reject it.

17 DR. LEDNAR: One last comment by Dr.
18 Oxman.

19 DR. OXMAN: Perhaps I'm mistaken, but my
20 understanding was that the Subcommittee's big
21 report to the DoD was endorsed by the Defense
22 Health Board and it represents a Defense Health

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Board recommendation. Now we have a response to
2 that recommendation and we haven't had a detailed
3 analysis of that response, but we have our
4 Subcommittee that had an analysis of that response
5 and it's reflected in this document. I believe
6 that our obligation is to read this document and
7 at least consider endorsing its transmission to
8 the Department of Defense from the Defense Health
9 Board.

10 DR. LEDNAR: Yes. That's in fact a
11 rewording of just exactly what I proposed. Dr.
12 Kizer, did you have any other suggestion?

13 DR. KIZER: I was going to comment that
14 the memo that was prepared other than reprinting
15 it in the way it was actually written, the memo is
16 the memo. It is now in the public domain. I
17 would strongly encourage the Board to officially
18 forward it to the Department, but it's hard for me
19 to imagine that the Department will not be aware
20 and have copies of this probably in a relatively
21 short time period through channels other than the
22 official DHB channel or it's at least conceivable

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 that that might happen. I think the Committee
2 would feel that an appropriation action of the
3 Defense Health Board would be to forward it on to
4 the Department with or without annotation
5 according to how you choose, and if nothing else I
6 think just transmitting it would be appropriate.

7 DR. LEDNAR: In fact, we will as a Board
8 commit to review the Subcommittee memo, and as a
9 Board if there are any additional comments, to
10 make those and then to forward that officially to
11 Admiral Mateczun. But I expect that the spirit of
12 the comments were probably related in verbal
13 discussion today in this morning's session.
14 Admiral Mateczun, you've got the last word, sir.

15 VADM MATECZUN: Thank you. In
16 summary, I would like to thank everybody again on
17 the Committee and on the Subcommittee in
18 particular for their work on this report. If you
19 take a look at what happens to reports from
20 Defense Health Board Subcommittees, this report
21 has been extraordinarily successful. It's gotten
22 a response out of the department, I think a very

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 detailed response, not with the level of
2 granularity you'd like, but the level of interest,
3 and the commitment of the Department has been
4 extraordinary. In fact, some of the
5 recommendations of the Committee now are taken
6 into next year's NDAA and so adopted into
7 legislation with a codification of the attributes
8 of world-class. Not many reports are going to end
9 up that way, so I think it's been an
10 extraordinarily successful and help report and I
11 appreciate all of the efforts of the board.

12 DR. LEDNAR: Thank you, Admiral
13 Mateczun, and thanks to all who participated in
14 this discussion this morning. We will now take a
15 15-minute break. Thank you.

16 (Recess)

17 DR. LEDNAR: I would like to call the
18 session back to order. We've in a flexible and
19 agile way elected to amend the agenda. As our
20 first item of business in this reconvened session,
21 we're going to have a discussion to follow-up on
22 the morning panel in that discussion. Dr. Kizer

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 has prepared for the BRAC Subcommittee of the
2 Board a memo. We've asked everyone to read it.
3 We will have a short discussion about the memo to
4 understand it if there's any information that the
5 Board would like some additional understanding.
6 Then the intent will be to as a Board vote on this
7 memo with the intention that there would be a
8 formal written communication by the Board
9 including the Subcommittee's memo communicated to
10 the Department of Defense within the next 7 days
11 or less. I said within; no later than.

12 I think it will be most helpful to the
13 Department to get it sooner. It will be important
14 for the Board with the amount of deliberation and
15 expertise it's got to be respectfully but clear to
16 the Department about where we stand. With that,
17 I'll open up the floor to questions or comments.
18 Dr. Poland?

19 DR. POLAND: The one thing I would
20 suggest which I think we'd probably do anyway is
21 whatever the changes in this that it be
22 accompanied by a cover letter, and not that we're

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 likely to get very far with it, but in part
2 calling out the idea that Congress has created a
3 sort of difficult situation here in terms of how
4 one might have optimally planned and resourced
5 something like this. In an attempt to in part
6 make clear this isn't an issue of DoD not
7 responding to Congress' intent or DHB making
8 suggestions that aren't feasible, both are right
9 and both are trying to work within the constraints
10 of what Congress gave them and as Russ or somebody
11 else said, it's not the way that a world-class
12 integrated system would have approached this, but
13 it is what it is and both sides are working within
14 the constraints of what they have.

15 DR. LEDNAR: Dr. Oxman?

16 DR. OXMAN: I don't completely agree
17 because I think that what's needed is a modicum of
18 cultural change in the Department of Defense so
19 that it's easier to delegate real authority and
20 responsibility because that's one of the things
21 that's missing.

22 The other thing if we're going to have a

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 cover letter, I think it's very important to point
2 out that construction is going on actually ahead
3 of schedule, that as the construction goes on, the
4 ease and cost of making changes that are necessary
5 will increase and therefore time is critical, and
6 what disappoints me about DoD's response is it
7 appears to me to have ignored the critical issue
8 of time.

9 The last thing is I think if you've ever
10 been involved in any construction at all directly
11 or indirectly, this is going as far as I know
12 without a facility plan. I'm not talking about a
13 plan for the delivery of care. I'm talking about
14 a construction plan, an integrated facility plan,
15 that integrates the BRAC construction with the
16 future or concomitant construction with other
17 funding. So I think that time is critical and I
18 think that we need to make that clear and be
19 demanding in a cover letter if we're going to
20 fulfill our responsibility as an independent
21 advisory group. The administration has changed,
22 the advice is written down, and I think we need to

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 try to get change occurring as quickly as
2 possible.

3 DR. LEDNAR: Dr. Clements?

4 DR. CLEMENTS: One of the things that
5 became apparent to me in reading all of this and
6 listening this morning, and you probably all
7 already knew this so it's kind of revealing to me,
8 was that there are really two different things
9 going on here, and this was pointed out by the
10 Admiral as well. There was the BRAC and the
11 consolidation of the two hospitals into one and
12 Walter Reed, et cetera, and then there was the
13 congressional mandate for a world-class medical
14 facility which was an essentially unfunded mandate
15 which was imposed on top of that. And rather than
16 the DoD pushing back and saying we appreciate
17 that, sir, but we can't do that with the resources
18 you provided, they're trying to make this into
19 what it can't become because rather than Congress
20 saying what do you need and how long do you need,
21 Congress said this is how long you have and this
22 is what you have, and that's not the way to go

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 about constructing a world-class medical facility
2 or an environment.

3 So I don't see any way possible under
4 the guidance and the resources that have been
5 provided to DoD for them to accomplish the mission
6 that Congress has given them on top of everything
7 else. I think one thing that we could do in a
8 cover letter is essentially point that out and say
9 we appreciate Congress' intent here. We think
10 it's vitally important. We don't see any way that
11 it can be accomplished given the resources and the
12 time constraints and here are the things that
13 we've identified as deficiencies in the current
14 plan. I really like the next- to-the-last
15 paragraph that Ken has put in this which is the
16 Committee concludes it will not be world-class if
17 the needed corrections are not taken in a timely
18 manner, but then to say but that does not appear
19 to be possible given the constraints that have
20 been imposed upon the Department. Because I don't
21 think that anything we say is going to change the
22 basic formula, that is, they're trying to respond

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 to BRAC, they don't have the resources to build a
2 new hospital or they don't have time to build a
3 new hospital and put together those kinds of
4 plans. I don't think you can reconstruct this in
5 the existing facility.

6 DR. KIZER: Technically, the mandate for
7 world-class was part of the appropriation bill
8 that did authorize funding and to date about
9 \$2-1/2 billion have been appropriated for Walter
10 Reed and Fort Belvoir. I'm not sure whether the
11 Committee would agree with the assertion that it
12 is not achievable with currently authorized
13 funding or that markedly more progress could be
14 made in the timeline that's authorized.

15 DR. LEDNAR: Dr. Kaplan?

16 DR. KAPLAN: Yes, I agree with that
17 next-to-the-last paragraph that the thing is that
18 when that is worded, it has to be clearly worded,
19 and at least my suggestion is so that when we say
20 it can't be done that it doesn't come across as
21 saying we're letting you off the hook. In other
22 words, it can't be done, but it has to be worded

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 some way so that somebody looking at that saying
2 they caved in.

3 DR. OXMAN: I would like to comment
4 related to what Ken Kizer just said, and that is
5 we don't know anything about budgets and money and
6 that's not our business. What we can do is we can
7 provide a document which the Department of Defense
8 if it doesn't have the ability to find the
9 necessary funds could use in going to Congress for
10 that money. I don't think we should comment. For
11 all I know, it would be very easy to change the
12 appropriation and come up with funds from the Navy
13 to do the renovation. I have no idea and I don't
14 think anyone on this Board either knows that or
15 has any business trying to guess.

16 DR. LEDNAR: What I sensed in both the
17 Subcommittee's recommendations and the discussion
18 to them is there's an absence of clarity that
19 there is a plan and there is a lack of signals
20 that progress is being made. The Subcommittee's
21 recommendations have been out there for almost a
22 year. It will be in about 3 weeks, and December a

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 year ago the Subcommittee made its recommendations
2 without clear evidence of progress. There are
3 some specific areas of concern like the ORs,
4 single rooms, adequacy of the connect between
5 surgical pathology and the operating rooms and
6 items like that. I haven't said the word fund and
7 funding and streams at all. I think that there
8 are some substantive aspects to support
9 good-quality care that have been identified by the
10 Subcommittee that there's a lack of evidence that
11 there is adequate progress particularly since at
12 least the BRAC portion of the timetable is
13 September 2011 and that will be here before we
14 know it. So not having evidence for a year from
15 the recommendations doesn't give confidence that
16 the next year will see real progress unless some
17 things change.

18 DR. LEDNAR: Dr. Parkinson?

19 DR. PARKINSON: I also want to draw
20 attention to one of Ken's comments. I think there
21 is embedded in the Committee's response to the
22 response that there are actions that could be

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 taken today to alleviate both the pace and
2 direction of getting to world-class medical
3 facility and that that is why there is an urgency,
4 that the Committee believes that it doesn't
5 require new legislation, it doesn't require new
6 funding, it requires the Department's will to get
7 on this even when the Committee has self-
8 identified itself as being a resource to help to
9 roll its sleeves and do this if it would be
10 useful. So I think the other element of the cover
11 letter is something to that effect, that this
12 Committee feels that there are actions that are
13 being taken, there are actions that could be
14 taken, there are activities that could be underway
15 today that could impact the delivery of a more
16 likely to be world-class facility by time certain
17 2011 if that's indeed the decided flow of the
18 discussion that your Committee had, Ken. What was
19 a little weird and disconcerting to me is that
20 Congress comes back in this year's NDAA and says
21 by the way, now we want to see the master plan by
22 March 31, 2010, when really any forward-leaning

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 integrated health system would have done this in
2 2003 for where they wanted to be in 2011 or some
3 timeline like that. So now we're going to do it
4 after the facility is essentially open or 3 months
5 before it opens to see the master plan for a
6 world-class medical facility.

7 Again, this is not pointing fingers at
8 the Department or at Admiral Mateczun or his
9 Committee, it's the way government does work, but
10 we've got to as the DHB/Subcommittee on this area
11 say this is just nonsense, folks, and we can make
12 it better today in a constructive way in that
13 cover letter which would add to this if that's the
14 sense of the body.

15 DR. KIZER: I might add that the
16 essentiality for having a master plan was
17 presented to this Committee last October. That
18 was one of the first obvious things that jumped
19 out that was missing. That's been a consistent
20 and stable recommendations throughout our
21 deliberations with the Department. Our
22 deliberations were totally transparent and the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Department is well aware of what our thinking has
2 been. I think we're disappointed that having this
3 as one of the prominent recommendations for such a
4 long period of time that less progress has been
5 made in developing the master plan, and I think in
6 some ways that's reflected by the Congress in a
7 relatively short timeline.

8 There are two or three things like the
9 authority issue and the master plan issue that if
10 rapid, substantial progress were made in that
11 regard, it would change the whole complexion of
12 how things are viewed. There are some critical
13 decisions that have to be made that both
14 facilitate forward progress that would be very
15 consistent with the tone and the specificity of
16 the Committee's recommendations, and absent those,
17 I don't think the Committee at least is very
18 enthusiastic that the end result will be achieved
19 anytime soon if ever.

20 DR. LEDNAR: Admiral Mateczun shared
21 that the Department has an expectation from
22 Congress to respond by March 2010 and that's going

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 to be a pretty important communication. The
2 Department I'm sure will make that deadline. What
3 I think is important and where the Board can be
4 helpful is to give some input to the Department
5 that can be reflected in the Department's
6 communication back to the Congress around the
7 master plan and other items in a way that can help
8 the Department. Again that comes to the
9 communication of the Subcommittee's findings to
10 the Department in a timely way, and the more we
11 can make the most-important issues clear and
12 frontloaded especially to Dr. Parkinson's point
13 that there are some actions that can be taken
14 today, that will set the track record for what
15 could really help the Department by March as it
16 responds to Congress. Dr. Luepker?

17 DR. LUEPKER: I don't want to let them
18 off the hook here, so that if we say at the end of
19 this we realize you have lots of problems in doing
20 this, that isn't helpful as an advisory committee.
21 I think if there are some actionable items and
22 there seem to be, and Mike is talking about it, we

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 ought to hold their feet to the fire, and the
2 larger plan. I see this as useful to DoD. When
3 they go up to the Hill to describe what they need,
4 they have an advisory board that says you need to
5 do these things. I can't estimate the financial
6 aspects of this. They haven't told us anything
7 about this, and I certainly can't figure out all
8 the convolutions of culture and things like this.
9 I do think that the Committee has said this is
10 what you need to do to make this the best facility
11 you can for the people they take care of and we
12 need to keep pushing in that direction. And
13 blaming Congress, I'm sorry, we can do that. We
14 do that every day, but it doesn't go anywhere.

15 DR. LEDNAR: Dr. Oxman?

16 DR. OXMAN: I think there are two things
17 to remember. When this Committee gave its report
18 there was a tremendous sense of urgency because as
19 we speak and by 2010, the construction will be
20 finished and things will have been done which will
21 interfere with -- I don't think world-class is
22 even the appropriate term. I think standard of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 care. So that I think there is an enormous
2 urgency and I don't think the fault is Congress'.
3 I think the fault is the DoD. If the DoD is
4 charged by Congress to do something that it can't
5 do with what Congress provides, how is Congress
6 going to provide adequate funding if DoD doesn't
7 say it? We're in the position of being an
8 independent board of experts. If you look at the
9 composition of our Subcommittee. This is the most
10 distinguished group that Congress could find to
11 advise them. So that I think we have to both
12 demand or request of the DoD that they pay
13 attention to the time-critical aspect of this and
14 provide them with ammunition if they need to go to
15 Congress.

16 DR. LEDNAR: I see two actions or two
17 documents. One is the document that Dr. Kizer and
18 the Subcommittee prepared and shared with us, and
19 I'm going to call in a moment for a vote of the
20 board on this document. The second is building a
21 DHB cover letter to go with this document which
22 gets at some of these additional points in a clear

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 and direct way. First, Dr. Shamoo?

2 DR. SHAMOO: Dr. Kizer, I know we are
3 finished discussions so that's why I waited since
4 my point is trivial. I think since we're going to
5 attach this memo with a DHB formal letter, we
6 should have it addressed correctly, saying Wayne
7 Lednar, Vice President, rather than Acting
8 President, because this is going to go way up and
9 it may raise red flags.

10 DR. LEDNAR: I think that's why we'll
11 ask for the staff's help. Obviously it's partly
12 format and it's partly getting the words correct.
13 But I think if we accept the content of the report
14 as the Subcommittee is bringing it to us -- Ken,
15 did you have something?

16 DR. KIZER: I was going to note that in
17 the draft before the one you received, you were
18 actually listed as Vice President and Acting
19 President. Based on a query, I was advised that
20 the appropriate term was Acting President. I am
21 now advised that that is not the appropriate term.
22 I would consider correction of that as a

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 nonsubstantive change. Feel free to correct it as
2 appropriate. I think I might not be quite as
3 willing to accept other changes in the memo.

4 DR. LEDNAR: Do I hear a motion from the
5 Board about the Subcommittee's report?

6 DR. SHAMOO: So moved.

7 DR. LEDNAR: Second?

8 DR. MASON: Second.

9 DR. LEDNAR: Is there any discussion?
10 So moved. The Subcommittee's report is accepted.
11 The Board appreciates the work of the Subcommittee
12 and Dr. Kizer in particular. It is the unanimous
13 position of the Board to accept the BRAC
14 Subcommittee of the Defense Health Board's report.
15 All in favor?

16 (Chorus of ayes.)

17 DR. LEDNAR: Any opposed? None. It is
18 a unanimous vote on the Subcommittee's report.
19 Dr. Kizer?

20 DR. KIZER: For clarification, does that
21 acceptance also include the action item of
22 forwarding it to the Deputy Secretary?

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. LEDNAR: Can you say that again?

2 DR. KIZER: The vote and the action
3 taken was to accept the memo of the report, and
4 for clarity I was asking whether implied in that
5 was also forwarding the memo to the Deputy
6 Secretary in the Defense Health Board.

7 DR. LEDNAR: We'll do this as a separate
8 motion. With the report accepted, is there a
9 motion?

10 DR. OXMAN: So moved, and it should be
11 stated that it was unanimous.

12 REV CERTAIN: Are we going to write
13 a cover letter to go over this?

14 DR. SHAMOO: You don't need that. The
15 cover letter is we're going to vote on it. You
16 said so yourself, even though to be very honest we
17 don't need to.

18 DR. LEDNAR: There will be a
19 communication of this report to the Department.
20 There will be a prepared cover letter to accompany
21 this report that will be communicated to the
22 Department.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 REV CERTAIN: In that cover letter
2 would it be wise for us to state very strongly
3 that any deficiencies not corrected that the
4 correction be included in the master plan? The
5 Admiral said to do that we'd have to build a whole
6 new patient care tower because you can't go to
7 single-bed rooms and still have enough beds to
8 meet the needs. It didn't look to me like there's
9 a whole lot of space left out here, but that's a
10 major deficiency that apparently has no solution
11 short of an additional construction piece. So
12 should we include that recommendation or urge or
13 insist that that additional bed facility be
14 included in the master plan when it is finally
15 prepared?

16 DR. LEDNAR: Dr. Silva and then Dr.
17 Poland.

18 DR. SILVA: Thank you. I don't know if
19 we need to state that. I like the way John framed
20 the comments because there are many other
21 important items that have to be addressed. The IT
22 is probably underfunded from where I sit without

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 knowing anything about the facility. But if we
2 send it up saying that there are multiple
3 deficiencies and recognize them and work toward
4 the future to repair them or correct them in order
5 to get the world-class status, and I think that
6 that was the spirit, John, that you were
7 proposing. Just say we have problems. Don't
8 sweep it under the rug. Let's move on to the
9 future. You're doing what you're doing. I don't
10 know if you could even turn off any pouring of
11 concrete or anything at this point.

12 DR. SHAMOO: Obviously from all the
13 comments there is a time constraint and urgency to
14 the time constraint. Should we label the memo to
15 attach to this called urgent on the top or
16 somewhere in the subject matter? Otherwise they
17 may just sleep on it for a few months.

18 DR. LEDNAR: We'll have to seek some
19 wisdom on that. Dr. Poland, then Dr. Parkinson?

20 DR. POLAND: In part I think let's let
21 our executive staff handle some of those details,
22 but this is a memo or report back to us as the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 parent board. It needs to have a cover letter
2 that is addressed to the Deputy Secretary but
3 c.c.'ed to the ASD for Health Affairs so that it
4 doesn't just go there and stay but yet both see
5 it. The particulars about whether it has urgent
6 or how those are listed I think we'll seek the
7 appropriate advice of our executive staff, but I
8 think the intent is let's get this to the Deputy
9 Secretary and I would add to the ASD.

10 DR. LEDNAR: Given the interest we have
11 to get this communication to the Deputy Secretary
12 quickly and the need to prepare a cover letter,
13 I'm going to ask if anyone would like to work with
14 Dr. Poland I to draft those words knowing that
15 we're going to sign up for getting a draft
16 together in the next day or two, quickly, because
17 we really want to get this communication next week
18 to the Deputy Secretary? Dr. Oxman. Dr.
19 Clements. Dr. Poland. Anyone else?

20 I think we have a plan. Thank you for
21 the energy. This is an important item for the
22 Department. This is an important item for the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Board. We have said that the Defense Health Board
2 is an independent advisory group of expertise who
3 volunteer to provide the very best insight
4 possible to the Department of Defense and this is
5 a test of our walking the talk and I hope that
6 those on the Board feel as though it's been a
7 delivery and thorough consideration and feel proud
8 of the product and the message that's being
9 communicated. And especially to Dr. Kizer and the
10 Subcommittee, this has been a very challenging
11 task that they've taken on and a complex solution,
12 but the Subcommittee is going to need all of our
13 help on the Board to stay the course, and that's
14 what we're here to do.

15 So that we will conclude our discussion
16 of this agenda item, and returning back to the
17 agenda as it was originally published, we'll go
18 into our next agenda item which is a report by Dr.
19 Shamoo of the Medical Ethics Subcommittee. Dr.
20 Shamoo is the Chair of the Medical Ethics
21 Subcommittee of the Defense Health Board. Dr.
22 Shamoo is Founder and Editor-in-Chief of the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 journal "Accountability in Research" as well as
2 Professor and past Chair of the department of
3 biochemistry and molecular biology, Professor of
4 Epidemiology and Preventive Medicine and a member
5 of the graduate faculty of applied professional
6 ethics affiliated with the Center for Biomedical
7 Ethics at the University of Maryland. He also
8 serves as guest faculty of the Applied Research
9 Ethics Program at Sarah Lawrence College. Dr.
10 Shamoo will provide a brief report on the
11 Subcommittee's recent activities, and Dr. Shamoo's
12 material may be founder tab 10 of your meeting
13 book. Dr. Shamoo?

14 DR. SHAMOO: Thank you very much, Dr.
15 Lednar. Ethics requires a lot of training, but
16 this is the first time we've had an ethics
17 presentation in 3 years of the Defense Health
18 Board's existence, so maybe this is a good start
19 to have more of them.

20 I'm just going to give you a quick
21 overview of our activities of our inaugural
22 meeting. Who are the members? The bylaws task

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 force for the Committee. We had an August meeting
2 and agenda topics. The most important part is the
3 topics for future meetings. We spent most of the
4 time, 2-1/2 hours, on that topic.

5 These are the members of the Committee.
6 All of you know them. We have a person from the
7 ASDH office, Ms. McCracken. Here are the tasks
8 of the Subcommittee by the bylaws, to study the
9 moral values as they apply to medicine and their
10 practical applications in clinical settings. I
11 won't review the rest of it.

12 We invited two leading bioethicists in
13 the country who have experience with national
14 security/defense issues. They have served on
15 national commissions in both of these areas, Dr.
16 George Annas from Boston University, and Jonathan
17 Moreno who is currently at the University of
18 Pennsylvania. Dr. Annas gave us a quick overview,
19 but also he went over very large studies and
20 ethical challenges of prevention in the U.S.
21 military of suicide, how that study ought to be
22 conducted and what are some of the pitfalls. Dr.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Moreno is interested in the area of neuroethics.
2 This includes the ethical challenges associated
3 with psychophysiology and neuroscience-based
4 technology where you read the mind. He has a book
5 actually published 3 years, "Mind Wars." That
6 includes lie detectors, functional magnetic
7 resonance and infrared. You can actually image
8 brain and/or some of the peripheral blood flow and
9 some of these instruments are being used in the
10 field with either little or no validated research
11 studies.

12 I said the topics will be very
13 important. What are the topics, how we prioritize
14 them and how we're going to address them. The
15 topics come from the ASDH office. Is there is a
16 question, that will take top priority or from the
17 Executive Director or the two Vice Presidents or
18 from the Chairmen of all the Subcommittees, if
19 they confront an ethical issue and they have
20 written me about it, I have solicited their input.
21 Other issues of course we came up with and these
22 are the topics we prioritized and the reason we

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 prioritized the first three is Dr. Smith from the
2 ASDH office has an interest in this topic and he
3 will need our input, and that is the treatment of
4 the enemy to be addressed by Dr. Smith, force
5 feeding of hunger strikers, detainees treatment
6 and medical care of the wounded enemy. It may not
7 be currently the highest priority, but this is one
8 of the priorities that was brought to us.

9 The second one, research and controlled
10 clinical trials. Commander Feeks recommended
11 Lieutenant Maury to us. They want to do research
12 in combat zones. Can you do a clinical trial in a
13 combat zone? That's it in a nutshell. What are
14 the ethical issues surrounding issues of informed
15 content? How are institutional review boards
16 going to act in combat settings? And evaluate the
17 protocol. The whole issue of research with human
18 subjects in the military requires maybe an
19 overview also.

20 The third topic is ethics education for
21 medical personnel within the medical health
22 system. Currently there is no such program and we

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 want to investigate it and we will ask Commander
2 Feeks to have someone to brief us on the current
3 status in the Military Health System whether there
4 is any training in medical ethics within the
5 system.

6 Most members of the Subcommittee felt
7 this Board needs medical ethics training, and they
8 really thought even for half a day to a full day,
9 but I told them you guys are very important and
10 very busy and you may never sit for half a day,
11 let alone a full day. So we have narrowed it down
12 maybe to a couple of hours, maybe an hour. But
13 anyway, you need to be familiar even with the
14 terminology and some of the implications of what
15 we will be doing. That was everybody. There was
16 no discussion on that issue.

17 The use of unscreened blood transfusion
18 on the battlefield was the topic. As you know,
19 there is currently some practice where we dealt
20 with blood transfusion one time in one of the
21 Subcommittees that you grab the nearest soldier
22 and you give them fresh blood that has not been

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 tested, that is, not within FDA compliance. If
2 there is an emergency obviously and there is no
3 blood which is FDA compliant, you will use that
4 first. Then as some of the old DHB members
5 remember that with the matter of providing just
6 one additional military airplane they were able to
7 reduce I think by over 50 percent -- Colonel
8 Bader, do you remember by 50 or 70 percent? You
9 don't remember that. Sorry. You may have not
10 been involved in it too. Dr. Poland, weren't you
11 on the teleconference? I'm going to keep going
12 until I find someone.

13 REV CERTAIN: My memory is similar
14 to yours, Dr. Shamoo.

15 DR. SHAMOO: Thank you. I knew I wasn't
16 having some delusions.

17 So there are things you could do to get
18 the compliant blood into the field if you do
19 certain things without starting to consider heroic
20 means to give them a blood transfusion. And it's
21 of course safer in the long run.

22 I think the third topic we already

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 talked about. No, we didn't. The ethics
2 treatment of the wounded soldier that is the
3 physician's dual loyalty, that is, to get him
4 quickly back into the war zone versus keeping him
5 in a hospital. This is no different than issues
6 related to physicians for a football team or
7 basketball team, how fast you are going to the
8 soldier back to the battlefield.

9 There are issues of research misconduct.
10 For probably some of you that's not on your radar
11 screen. There was a former military physician and
12 researcher who was involved in a big scandal that
13 was in the newspapers. Has any one of you heard
14 of that? Amazing. It was on TV also. One. Mike
15 Parkinson. A surgeon, and since it's my area, I'm
16 aware of it. But there are others also in the
17 military including research misconduct with a few
18 cases here and there.

19 The ethics of performance-enhancing
20 drugs and technology to soldiers. The other topic
21 was something I'm not personally enthusiastic
22 about because I think it's a deep hole that we go

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 into and we will never come out of it. That is, a
2 significant number of former high-ranking active
3 military officers who retire to become lobbyists
4 and project managers for the medical industry.
5 That's a very big order and I don't know how we
6 can put our arms around it to be very honest. You
7 can see that it's low in priority.

8 These priorities will change as we see
9 something much better and we could reprioritize
10 them. So it's a fluid situation. We are not
11 stuck with it. A colleague insisted that we have
12 hand and face transplants conducted at AFIRM and
13 there are a lot of ethical issues involved with
14 that.

15 These are our topics. This is what the
16 Medical Ethics Subcommittee became after we lost
17 seven members because of renewal problems. Now
18 you can understand why I was disturbed by it. We
19 are really in suspension until we know about the
20 renewal of board members.

21 This is what they decided for future
22 meetings. Obviously in 2009 with only three

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 members, we're not going to meet, and in 2010 we
2 will meet quarterly. Thank you. I will be glad
3 to answer any questions.

4 DR. LEDNAR: Thank you, Dr. Shamoo. Are
5 there any questions for Dr. Shamoo?

6 DR. LUEPKER: Dr. Shamoo, when you
7 address these issues, is the outcome a position
8 piece or a report of some type? Is that where
9 you're headed?

10 DR. SHAMOO: On each topic we will
11 deliberate on it. First we will collect expert
12 opinion and we will collect the literature, and
13 then we will produce hopefully a one to three page
14 white paper back to the board for their adoption,
15 approval, changes or whatever they want.

16 DR. LEDNAR: Dr. Parkinson?

17 DR. PARKINSON: Dr. Shamoo, this is an
18 excellent list. I would suggest when you get
19 reconstituted that maybe the first thing that you
20 might want to consider is a fundamental
21 exploration. I remember we talked about the
22 profession of arms and the profession of health

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 and medicine to define at a high level, what are
2 the guiding principles that are extant in our
3 training curriculum materials, in our residency
4 programs, because I think that review and asking
5 the department to produce DoDIs, Service-specific
6 policies so you can do a policy review of what's
7 out. I don't think there is a consistent
8 approach, yet there is a good body of knowledge
9 that's taught at USUHA, it's taught at the
10 academies, it's taught selectively at some of our
11 officer training schools and our initial health
12 profession schools, NMSO and some of these things
13 that's out there but there is no inventory on
14 this. I think a scrutiny from your fully composed
15 body of what's out there, what's on target, where
16 is our huge hole, would be the first step before
17 we get into the individual hot-topic issues as
18 opposed to ethical foundational work which I think
19 will be most useful to do.

20 DR. SHAMOO: Mike, as usual you have
21 very keen and important questions and that was
22 discussed in detail. We wanted to know what

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 medical schools, especially the Uniformed Services
2 University and others, is currently being taught
3 there.

4 DR. LEDNAR: I think in this area and is
5 true of all the Subcommittees, as we identify
6 areas of opportunity, in this case ethics, it will
7 be important to have a conversation with the
8 Department. Some of the questions may in fact
9 come from the Department like from Dr. Smith.
10 Others may be thoughts within the Committee of
11 important areas for the Department to consider
12 that might be proposed by the Subcommittee to the
13 department. I think what's helpful in that kind
14 of orienting discussion is to try to get the
15 question as clearly framed as possible, the scope
16 is understood as possible, that the priority of
17 either the Department or the Subcommittee is
18 understood by both so that the Department is
19 getting useful product and it's ready to receive
20 it and will assist in its implementation.

21 I'd encourage again with the help of our
22 senior staff, who are the right people in the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Department to have these conversations so that we
2 get the very best traction of this independent
3 advice. Are there other questions or comments for
4 Dr. Shamoo? We look forward to the success of the
5 senior staff in terms of appointments being acted
6 upon so that Dr. Shamoo and the Medical Ethics
7 Subcommittee is fully staffed with its complement
8 of experts soon so that you can continue this
9 important work.

10 DR. SHAMOO: Thank you.

11 DR. LEDNAR: Thank you. Our next agenda
12 item is for Dr. William Halperin to share with us
13 information regarding the Military
14 Occupational/Environmental Health and Medical
15 Surveillance Subcommittee as an update. Dr.
16 Halperin is currently serving as Chair of the
17 Department of Preventive Medicine at the New
18 Jersey Medical School as well as Chair of the
19 Department of Quantitative Methods for the School
20 of Public Health at the University of Medicine and
21 Dentistry in New Jersey. As Chair of the Defense
22 Health Board's Military Occupational/Environmental

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Health and Medical Surveillance Subcommittee, he
2 will share with us an update regarding the
3 Subcommittee's recent activities. Dr. Halperin's
4 slides may be found under tab 11 of the folders.
5 Dr. Halperin?

6 DR. HALPERIN: Thank you very much. I'm
7 going to aim for 5 minutes. Let's start with
8 bastardizing a quote from Mark Twain who responded
9 to an editor and was under deadline by saying,
10 "With more time I could be briefer."

11 You're more familiar with the work of
12 this Subcommittee in the area of occupational and
13 environmental health. We've briefed you on
14 chromate exposure in Iraq and dioxin exposure in
15 Iraq and so forth. What we're going to focus on a
16 bit today is the surveillance part of our charge.
17 The members of the Subcommittee are very well-
18 situated for dealing with acute exposure and acute
19 turnaround reports having epidemiologists,
20 industrial hygienists, occupational physicians,
21 biostatisticians, et cetera.

22 Now we come to the charge to the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Subcommittee that deals more with surveillance,
2 and the origin of that charge if you go way back
3 is from Dr. Winkenwerder in 2002 which said the
4 AFEB which is now translated into our Subcommittee
5 if you will, that we would meet with the DoD
6 Centers for Deployment Health Research of which
7 there are three to receive mission briefs and then
8 we would develop in coordination with the
9 directors of these centers an appropriate strategy
10 to accomplish an ongoing program review, et
11 cetera. Essentially the Subcommittee the way we
12 interpret this is going to assess these three
13 research centers and in a certain way become an
14 ongoing standing review committee for these
15 centers. This is a major undertaking to say the
16 least.

17 To accommodate this task, we needed to
18 do it within the capacity that we have, and I'll
19 tell you how we're dealing with the first review.
20 The first review was at the Naval Research Center
21 that is responsible for the Millennium Cohort
22 Study. The reason that we worked on that one

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 first is because of the size and the importance of
2 the Millennium Cohort Study. If you remember,
3 they started accruing participants in this study
4 in 2001. The goal is 170,000 participants, and
5 they're up to about 140,000 participants by now.
6 Each participant will be followed for 20 years.
7 That's 3.4 million person years of observation
8 time. I think it would be very hard to find
9 another study anywhere that comes close to the
10 magnitude and the capacity of the Millennium
11 Cohort in the future to answer all sorts of
12 questions.

13 Aside from accruing, that is registering
14 and getting the participation of the members, they
15 have sent questionnaires to 140,000 members every
16 3 years so that when this is all said in done over
17 20 years, then they have seven or eight rounds of
18 questions amongst 170,000 people, and this is a
19 huge volume of work. The way we chose to deal
20 with this charge is a little bit novel which is
21 that Ed Feeks and I after discussing in a
22 telephone conference with the Subcommittee members

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 what it is that was of interest to the
2 Subcommittee members that Ed and I went out in a
3 little reconnoitering to San Diego and spent time
4 with the scientists out there trying to understand
5 the issues that they were facing and the issues
6 from our point of view that were most substantial.
7 That report which essentially is drafted is going
8 to the rest of the Subcommittee members, we will
9 have another telephone conference to prioritize
10 which are the major issues that they are most
11 concerned about, and do we need a return visit to
12 further debrief the scientists in San Diego. If
13 we do, we will be headed back and we will then
14 periodically be visiting with the Naval Research
15 Center on the Millennium Cohort Study. Then we
16 will turn our focus to the other two deployment
17 research centers in turn.

18 Essentially that is what I intended to
19 tell you about this morning. If you have
20 questions, I'd be happy to answer them briefly. I
21 would like to reserve a minute for Tom Mason who
22 accepted the challenge yesterday if you'll

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 remember the discussion about should there be an
2 electronic medical record that is functional and
3 available, Tom has written a draft having to do
4 with all sorts of exposure information and how it
5 should be collected and be available. You have it
6 in front of you. The goal is for you to look at
7 this and then send comments to both of us that
8 we'll look at and revise the recommendation, and
9 then bring it to you as a vote either at the next
10 meeting or perhaps electronically in between.

11 DR. LEDNAR: Thank you, Dr. Halperin.
12 If I can ask, before Dr. Mason shares with us the
13 wording of the draft to address an issue that came
14 up yesterday, do we have questions for Dr.
15 Halperin?

16 DR. KAPLAN: Bill, a few years ago there
17 was a Committee that went out there. Was it last
18 year?

19 DR. HALPERIN: It was the summer 2008.

20 DR. KAPLAN: There were a series of
21 recommendations in the report. Where is that?
22 Has that been taken into consideration and so

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 forth?

2 DR. HALPERIN: Yes. A large number of
3 us went out and were briefed on some of the work
4 of the Millennium Cohort, there were a series of
5 presentations, wonderfully informative and
6 entertaining, but it didn't quite get translated
7 into action if you will. Subsequently the charge
8 has been given to our Subcommittee. We looked at
9 those recommendations. We thought that some of
10 them were not deep enough if you will, so we
11 decided rather than to say something, that it was
12 wiser to hold back and take a refreshed view of
13 it, but those comments were used to inform us of
14 what questions we should address out there.

15 DR. LEDNAR: Dr. Poland?

16 DR. POLAND: Bill, with the three
17 centers then, is it the plan to do something like
18 each one would be reviewed in depth every 3 years,
19 for example, or how do you plan to approach that?

20 DR. HALPERIN: I think it depends on the
21 state of the Centers. My sense, and it's only
22 mine, it's not the Committee's sense, is that with

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the Naval Research Center there are enough issues
2 that we ought to be engaging with them every 3
3 months until things are worked out, and then the
4 frequency could become longer. So I think we have
5 to frame the frequency of this to what we
6 encounter. This is a major undertaking that
7 they're engaged with out there.

8 DR. LEDNAR: What Dr. Halperin just said
9 reminds me of what we mentioned yesterday for all
10 of our Subcommittees, and that is for the
11 Subcommittee Chairs to take a look at their
12 membership in the Subcommittee hope that all will
13 be reappointed but anticipating that some may not.
14 And looking at are there critical skill gaps, are
15 there volumes of work coming to the Subcommittee
16 issues that suggest that we need to staff the
17 Subcommittee in a way different than we do today?
18 I think Dr. Halperin has put his finger on an
19 understanding of a request to the Subcommittee
20 which the current staffing may not be in a
21 position to meet. Dr. Luepker?

22 DR. LUEPKER: Bill, as you know, I

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 chaired a review committee for the Millennium
2 Cohort in 2005 and then 2 weeks ago in Frederick
3 they came out and presented to a group aside from
4 me that does not represent this group under the
5 aegis of AIBS. There are some serious worries
6 about this program and I guess I'd like to be
7 included if possible as we move forward.

8 DR. HALPERIN: Volunteers accepted with
9 an A. Accepted.

10 DR. LUEPKER: I accept it.

11 DR. LEDNAR: Thank you. We will ask Dr.
12 Mason to share his thoughts. Dr. Mason?

13 DR. MASON: I'll be very brief. What
14 I've attempted to do is capture the essence of the
15 discussion that we had yesterday, and since we in
16 our Subcommittee have been tasked to do the
17 impossible. Qarmat Ali. Sodium dichromate.
18 Still classified. Those of us who have active
19 clearances. Come to Washington. No read-ahead
20 materials. Still classified. Sit in a room
21 within a room. Get briefed. Get all the
22 information that you have and 24 hours later have

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 something we can go public with. There's a better
2 way to run a railroad. Very simply where we are,
3 very simply, is we need to systematically provide
4 for the ability to assess exposures realized by
5 persons in uniform for their lives and we can't do
6 it because we're not set up to do it.

7 Yesterday there was this plaintive cry
8 with regard to records being lost and disconnects,
9 et cetera. We are sympathetic to that. We
10 recommend that the electronic medical record plays
11 a part, but will not by any stretch of anyone's
12 imagination replace the hard work that is
13 presently ongoing. Predeployment, postdeployment,
14 postdeployment health reassessments, the nurturing
15 of individuals. What we really are interested in
16 is before the person, him or her, takes his or her
17 oath that we have a really good sense of what's
18 going on. Those of us who are interested in the
19 epidemiology of suicide need to pay attention to
20 family histories. We are a product of so many
21 exposures that we realize before we ever take any
22 oath, before we ever put on any uniform, and we

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 need to pay attention to that. We need to be very
2 holistic in our approach to military medicine.

3 What we're suggesting very simply is
4 let's get serious. If we don't start as we said
5 in West Philly when we fought the drug lords, we
6 didn't get in this mess overnight and we're not
7 going to dig out overnight, but if we don't start
8 doing something today we're doomed to failure.
9 What I'm suggesting very simply is read this
10 whenever you have an opportunity and not while
11 you're driving. Look at it. I'm an environmental
12 epidemiologist. That's what I do. That's the
13 what I think. That's the way I look at issues.

14 The sooner we can actually characterize
15 these individuals and the sooner we can actually
16 deal with the reality and we can actually improve
17 on the assessment of information and use the tools
18 that are available to us, body parts, biologic
19 materials, sync/h that deal exposure. There is
20 not a sync/h for every possible thing that our
21 uniformed services might actually be exposed to,
22 but we get asked and we will continue to be asked,

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 and quite frankly, I'm very concerned. If we look
2 at agent orange and we look at the Gulf War, we're
3 staring down issues that are related to exposures
4 realized by persons in uniform who are really
5 going to make some of those activities pale
6 because the magnitude is so much larger and the
7 issues are even more complex. We need to get
8 serious about ways in which to utilize techniques
9 that have been used in our own occupational
10 environment. Just because you got a uniform on is
11 not different in any way in many respects from
12 exposures that we realize at a work site. Use
13 some of the respective tools. Use sampling
14 methods. Be creative. When persons come to a
15 clinic, get access to biologic materials. Update
16 the information. Yes, electronic medical records
17 can play a part. They're not going to answer
18 everything at all. But it will push us we believe
19 in the direction that we should be going.

20 So just consider it. I'm not the least
21 bit protective of any of my words. I'm an
22 academician periodically and I'm also from the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Alsace, I come from a German/French background, so
2 I write exceptionally long sentences. Break them
3 up. Break them up into short, simple declarative
4 sentences. That's what I tell my students. I
5 don't do it. I tell them to do it. Thank you
6 very much.

7 DR. LEDNAR: Are there any questions for
8 Dr. Mason? Dr. Parkinson?

9 DR. PARKINSON: Très bien. It struck me
10 that probably too many years ago there was a
11 discussion which I think would be very useful for
12 your Subcommittee or committee of a committee or
13 whatever to create a useful, understandable
14 framework for optimal individual exposure
15 assessment and a codification. I go back to the
16 experience with agent orange. Of these so-called
17 I was in unit X during the day that the smoke came
18 over turned out to be absolutely if not useless
19 near useless until we got a biomarker of absolute
20 exposure. But it seems to me what the Department
21 could use unless some preventive and occupational
22 colleagues can tell them you've got it today in

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Air Force manual X, in other words, what are the
2 leading currently known exposures of interest,
3 what are the emerging exposures of interest and
4 what are the futures exposures of interest and
5 what are the currently available biosensors a la
6 the old radiation detector that we do in X- ray
7 units in terms of acoustic shockwaves? What is
8 the state of the technology that the Defense
9 Health Board can push to say you need to get this
10 embedded in a helmet next year? It would seem to
11 me that that would be an action agenda on the
12 sensor biological monitoring or whatever that
13 would leapfrog the Department with an action
14 agenda that right now is Brownian motion out
15 there, it's the exposure de jure and what are we
16 going to do about this without an assessment of
17 what's currently capable or developmentally there
18 and involves reaching into the research labs, it
19 involves are that are not traditionally
20 epidemiology at all. Maybe you guys are doing
21 that, but I think it might be a good thing to do.

22 DR. MASON: I thank you very much for

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 those comments. We play a role, but we play a
2 minor role in comparison to all of the
3 complementary sister disciplines, and until we
4 start doing this which we are not, until we start
5 doing this, we're going to wind up with addressing
6 the concern right now and losing the sight of the
7 fact that there are hundreds of thousands of
8 individuals there who would actually benefit from
9 a systematic review, analysis and promulgation and
10 publication of findings that have an impact on
11 preventive medicine.

12 DR. LEDNAR: I think what Dr.
13 Parkinson's comments remind us of is the real
14 benefit that the Defense Health Board can provide
15 to the department by leaning forward,
16 anticipating, seeing opportunities for connection
17 within the department of technology expertise,
18 with theater need and connecting those dots in a
19 way that also allows us to understand the
20 experience and to be able to be in a position to
21 answer questions.

22 DR. MASON: Correct.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. LEDNAR: Dr. Fogelman?

2 DR. FOGELMAN: Tom, can we have your
3 email address?

4 DR. MASON: --

5 DR. LEDNAR: So the request is for
6 comments on the draft that you've received, if you
7 would email them to both Dr. Mason and Dr.
8 Halperin for consideration by the Subcommittee.
9 Is there anything else, Bill or Tom? Thank you
10 for that report and that discussion.

11 Our next agenda item is going to be
12 presented by Dr. Frank Butler. Dr. Butler is a
13 retired captain and Chair of the Committee on
14 Tactical Combat Casualty Care, as well as a member
15 of the Trauma and Injury Subcommittee. An
16 ophthalmologist and former Navy SEAL, he is
17 currently serving as a medical consultant to the
18 Navy Medical Lessons Learned Center as well as an
19 Adjunct Professor of Military and Emergency
20 Medicine at the Uniformed Services University of
21 the Health Sciences. The Board believes trauma
22 and injury treatment and prevention should be a

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Department of Defense core competency and is
2 thrilled to have members of this Subcommittee
3 participate in ensuring that such efforts
4 optimally meet the needs of our Service members.
5 On behalf of Dr. John Holcomb, Chair of the Trauma
6 and Injury Subcommittee, Dr. Butler will provide
7 an update on the subcommittee's recent activities.
8 His presentation slides may be found under tab 12
9 of the meeting binders. Dr. Butler?

10 DR. BUTLER: Thank you. It's a pleasure
11 to be back. Commander Feeks has asked me to talk
12 fast which is a tall order for us Georgia boys,
13 but I'll give it my best shot.

14 I would like to bring the Board's
15 attention to two proposed votes that we will go
16 over as we proceed with the brief. The first has
17 to do with battlefield trauma care research
18 priorities. The second has to do with proposed
19 TCCC burn management strategies.

20 First I would like to give the Board a
21 little bit of feedback. I'm sorry that Dr. Oxman
22 is not here.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. OXMAN: I'm right here.

2 DR. BUTLER: I have some answers to the
3 questions that you raised about what happens to
4 our memos after we sign them and send them out. I
5 think you'll be pleased with a couple of these
6 actions.

7 You'll remember in Key West we talked
8 about the fact that the literature right now says
9 that among our total combat fatalities, about 20
10 percent of this might have been prevented with
11 optimal care, and there are now several units who
12 have been using TCCC since the start of the war
13 who have reported zero preventable fatalities
14 despite sustaining about 800 casualties total
15 including 60 deaths. That was translated into the
16 Defense Health Board memo that was signed out by
17 Dr. Holcomb and Dr. Wilensky on August 6 that said
18 that's have everybody and their deploying
19 combatants to do TCCC, let's teach the medical
20 officers what their medics are learning so that
21 they will know. They don't get this stuff in
22 medical school and they don't get it in most

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 residencies. Let's teach combat leaders to know
2 what their medics are supposed to know. It's
3 their responsibility to make sure it's done right.
4 They have to know what it is. We need to better
5 capture the point of injury data and we need to
6 have a process improvement program that's ongoing.
7 So that was the substance of the memo.

8 Interestingly, within a month it got
9 picked up and was the feature article in "USA
10 Today." For any of you who didn't see this, I'd
11 be glad to email it to you. The thrust of the
12 article was 20 percent indicates a problem.
13 Second, the Defense Health Board has proposed some
14 solutions. Third, Pentagon, what are you doing
15 about it?

16 With respect to what are we doing, the
17 memo found its way to Dr. Karen O'Brien who is the
18 Command Surgeon for the U.S. Army Training and
19 Doctrine Command. TRADOC trains everybody outside
20 of medical in the Army. So Colonel O'Brien and I
21 went and briefed her four-star commander about the
22 contents of the memo and he agreed in August of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 this year to implement TCCC training for everybody
2 who gets on to a battlefield and for all combat
3 leaders. It was a huge success.

4 Your memo found its way to the Army
5 Surgeon General's Working Group on Point of Care
6 Injury Documentation and that group used the
7 recommended card as the new standard of care for
8 documenting care on the battlefield. The good
9 news is that the Army has a form that does this.
10 The bad news is of course that the Army has
11 another form, so take that for what you will.
12 This just came out. Your memo found its way to
13 the Deputy Medical Officer of the Marine Corps,
14 and on October 30 this message was released by the
15 Commandant of the Marine Corps that said,
16 "Effective now, this is our new standard of care
17 in the Marines and we will train all combatants,
18 all combat lifesavers and all medics in this." So
19 thanks for the Board for their support and I hope
20 you're pleased with some of the actions that have
21 been taken in the interim.

22 I'd like to share this study with you.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 If traumatic brain injury is the signature injury
2 of this war, tourniquets are the signature
3 lifesaving intervention of this war. This came
4 out in the "Journal of Emergency Medicine" a
5 couple of months ago. It's the largest tourniquet
6 paper as far as I know that's ever been published,
7 499 casualties, tourniquets on 651 limbs, overall
8 survival was 87 percent. Many of these casualties
9 died of their polytrauma, they were injured in
10 other places besides their extremities. The
11 author noted that there was a very low survival if
12 the tourniquets were applied aftershock had
13 already set in. Complications include transient
14 peripheral neuropathies at 1.5 percent, limbs lost
15 due to tourniquet use, zero. That's a number we
16 can live with. It's sad to note that there were
17 10 fatalities who died of extremity hemorrhage
18 with no tourniquet being placed. So the battle is
19 being well fought but not completely won.

20 In the interests of time, what I will do
21 is save the discussion of this fascinating Hextend
22 study that's about to be published and come back

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 to that with the Board's permission. This is
2 complex. You will have questions about this and
3 it's a great discussion, but it won't be short.

4 I think it's a reasonable question for
5 this Board to say how are the things that you are
6 recommending for trauma care being accepted by the
7 civilian trauma sector. We had a panel session
8 that was presented in early October at the
9 American College of Surgeons' Clinical Congress.
10 We had a panel session on trauma care advances in
11 the military and TCCC was the prehospital segment
12 of that discussion. In the Scudder Oration which
13 is their named trauma oration each year, Dr. Brent
14 Eastman who is the Chairman of the Board of the
15 American College of Surgeons and a former chair of
16 the Committee on Trauma said the military has got
17 this right. They've got an incredibly
18 well-functioning trauma system in theater. Every
19 Thursday the trauma system as a group get together
20 and discussion every patient who came into the
21 system that week. We discuss every hospital that
22 he or she was admitted to, what was done for them

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 there and how they responded. And as a process
2 improvement system, I've never seen anything like
3 this. It is fantastic. They react to these
4 conferences by publishing clinical practice
5 guidelines that are implemented in CENTCOM. We
6 all know the military can sometimes be a little
7 sluggish in getting things done and committing to
8 a specific course of action. This is an example
9 of a system that works and these clinical practice
10 guidelines, I really commend them for your
11 perusal. They are posted on the internet and
12 available for anybody to look at. Very positive
13 comments from Dr. Eastman.

14 On to the new items. The first is
15 battlefield trauma care research priorities, and
16 the second is the treatment of burns. In trying
17 to establish research priorities, we've discussed
18 before that TCCC has had an historic focus on
19 preventable deaths. There are so many great
20 people in this room doing so many great things for
21 our casualties, but the hospitals can't do
22 anything, the rehab people can't do anything, the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 family advocates can do something but they can't
2 help the casualties themselves unless we get them
3 off the battlefield alive, and that's our focus.
4 If you read this paper by Joe Kelly that was
5 published in the "Journal of Trauma" last year,
6 potentially survivable deaths, 232 out of a cohort
7 of 982, 85 percent of the preventable deaths were
8 hemorrhage. Using that, the Committee addressed
9 the issue of let's provide some input to our
10 leadership on what we think the priorities are if
11 you are interested in getting your soldiers,
12 sailors, airmen and Marines off the battlefield
13 alive. This is what they were noncompressible
14 hemorrhage control, damage control resuscitation
15 which is the military's term for resuscitation
16 that takes into account more than just fluid
17 volume. You take into account the effects on
18 coagulopathy, the effects on perhaps a forming
19 clot at the site of the injury, the effects of
20 immunomodulation with the fluid that you're using.
21 It is a different way to look at fluid
22 resuscitation.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Documentation of care and process
2 improvement. Improved battlefield analgesia,
3 better ways to train on TCCC, a truncal tourniquet
4 for proximal injuries, better fluid resuscitation
5 for casualties who have hemorrhage and TBI,
6 monitor-driven fluid resuscitation, surgical
7 airway kits and testing of new tourniquets and new
8 hemostatic agents. We should probably stop here
9 and address any concerns, issues or comments that
10 the board has about that list or we can come back
11 to it.

12 The second vote item is treatment of
13 burns and TCCC. I will say that we have not
14 previously addressed this in our guidelines and
15 you may be thinking it's 8 years into the war.
16 Have these guys been sleeping? Burns have not
17 historically been a leading cause of potentially
18 preventable death nor are they now, however,
19 they're becoming increasingly prevalent and both
20 our leaders in theater and our medics are saying
21 give us some guidance about what to do for burns
22 on the battlefield. I would like to acknowledge

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the incredible input on this from the Army
2 Institute of Surgical Research Burn Center. This
3 is where all of our burn casualties go. It's one
4 of the premiere burn centers in the world, and
5 they helped us out immensely with this. We wrote
6 12 chapters in the "Prehospital Trauma
7 Life-Support Manual." They helped us out by
8 writing one on burns this time, and they did both
9 the chapter and the initial draft of the
10 guidelines.

11 You'll recall that our phases of care
12 are broken into care under fire, tactical field
13 care and evacuation care. What do you do when
14 you're still in the middle of a gunfight? You get
15 your casualty out of the burning vehicle and you
16 stop the burning process even in the middle of a
17 gunfight. Once you are in a position of relative
18 safety, it might just be behind a wall, it might
19 be that the fighting has stopped, but now you
20 really have a chance to focus on the patient, and
21 this is burn care 101 in many respects, if it's
22 old hat I apologize. Some of it will be new.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 First is to pay attention to whatever facial burns
2 the casualty might have because those may indicate
3 inhalation injuries and indicate the need for
4 aggressive airway management. Estimate the total
5 body surface of area of burn using the rule of 9s
6 is pretty standard. Cover the burn area with dry,
7 sterile dressings. This is going to disappoint
8 the people who make millions of dollars selling
9 antibiotic and silver-impregnated things to the
10 military. The burn people said there's not much
11 indication for that. Dry, sterile dressings; if
12 you have somebody with extensive burns, we have a
13 rescue wrap that will serve nicely both to cover
14 their burns and to prevent hypothermia.

15 Third is that resuscitation is
16 significantly changed. If you have wrestled with
17 the Brooke and Parkland formulas, ISR has
18 determined, A, they're too complicated for medics
19 to calculate on the run out there on the
20 battlefield; and B, they overresuscitate the
21 casualties. There are significant numbers of
22 abdominal compartment syndrome and ARDS in some of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 our burn patients and the burn surgeons feel that
2 cutting back on the fluids might not be a bad
3 thing. If the burns are greater than 20 percent
4 of the total body surface area, start fluid
5 resuscitation early on. Lactated ringers, normal
6 saline or Hextend can all be used. If you use
7 Hextend, don't exceed a liter because of
8 coagulopathy concerns. The initial fluid rate is
9 pretty simple. You just take the percent total
10 body surface area burned and multiply by 10, and
11 that is your initial IV rate in milliliters per
12 hour. That works if you're up to 80 kilograms.
13 If you're a big person then they increase that
14 fluid a little bit to 100 milliliters an hour. If
15 you are in hemorrhagic shock it's a different
16 story. Bleeding shock takes precedence over burn
17 shock. There is no argument from the burn
18 surgeons or the trauma surgeons that that was the
19 case, in which case you would restrict fluids and
20 not give more than a liter of Hextend. Analgesia
21 according to the guidelines is they worked
22 previously. The burn folks said absolutely do not

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 start antibiotics prehospital for these severely
2 burned patients. If you need to do that because
3 they're also shot in the abdomen then do it, but
4 don't do it just for burns. Then lastly, a
5 question came up from the medics concerning, What
6 can we do through burned skin? The answer is
7 anything that you can do. You don't have to avoid
8 doing intraosseous infusions or things like that
9 or needle decompression if you have to do it
10 through a burn. When you move into evacuation
11 care, it is essentially the same as during
12 tactical field care except that there was an
13 emphasis on preventing hypothermia in these
14 helicopter evacuation platforms because it's cold
15 up there at 12,000 feet.

16 Those are the proposed two items. I
17 will mention that both items were reviewed by the
18 Trauma and Injury Subcommittee on November 4 and
19 were approved unanimously by the 7 of the 10
20 members who were present at the meeting. That's
21 the lot. Questions?

22 DR. LEDNAR: Thank you, Dr. Butler. Dr.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Poland?

2 DR. POLAND: Two questions from an
3 internist so naïve to the surgical aspects. One
4 is, is it possible that at least a controlled
5 level of hypothermia might be desirable?

6 DR. BUTLER: This question has come up
7 with TBI patients and so many of the patients that
8 we have now are polytrauma. If somebody is in an
9 IED explosion, the person is typically suffering
10 from blast injury, penetrating trauma, burns and
11 blunt trauma. The concern is when we think of
12 hypothermia, as a diving medical officer we're
13 concerned when people drop under 33 or 32. If you
14 read the studies on hypothermia and coagulopathy,
15 however, it's a different story and there are
16 people who define hypothermia in trauma as
17 anything below 36 C because that's when the
18 clotting factors and the platelets functions start
19 to be affected. We try to keep people so that
20 they don't have this impaired coagulation that may
21 contribute to their bleeding to death.

22 DR. POLAND: My second question relates

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 to the observation that at least historically all
2 the battlefield casualty care and algorithms that
3 have been developed are probably very
4 male-dominated. Just to give a simple example,
5 we've shown that even in the thinnest women, their
6 deltoid fat pad is thicker than heavier men, and
7 so IM deltoid injections have to use different
8 needles in women versus men. Is that an issue at
9 all for some of the algorithms that you're talking
10 about? After all, they have different percent
11 body fat, different pharmacokinetic compartment
12 sizes, et cetera.

13 DR. BUTLER: In theory, women would be a
14 little bit protected from hypothermia, and there
15 have been some studies in divers that show that
16 there's a pretty direct correlation between your
17 fat pad layer and how well you do in cold water,
18 and since women do a little more body fat, they do
19 a little bit better in cold water. But there are
20 no differences in any of our algorithms based on
21 gender. They're based on size.

22 DR. LEDNAR: Dr. Halperin?

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. HALPERIN: I avoid doing algebra and
2 some other things in public, but if you go back to
3 your tactical field care, is there a typo in the
4 first line there?

5 DR. BUTLER: Probably.

6 DR. HALPERIN: It says, "The fluid rate
7 is calculated as percent TBSA times 10 cc's per
8 hour." Should it be times kilogram? No, that's
9 not it. It's the top one. There's something
10 funny.

11 DR. BUTLER: You're missing the
12 kilograms.

13 DR. HALPERIN: So it should be times
14 kilograms?

15 DR. BUTLER: No. The ISR took out the
16 kilograms to simplify the math because we can't do
17 algebra either. But they did put the restriction
18 that this formula really is designed for the
19 individual between 40 and 80 kilograms.

20 DR. HALPERIN: So it's 10 cc's per hour
21 per kilogram?

22 DR. BUTLER: Ten cc's per hour times

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 percent body surface area burned.

2 DR. MASON: Is percent an absolute
3 number? Let's say someone was 50 percent burned,
4 that would say 5 cc's an hour.

5 DR. BUTLER: 500 cc's an hour.

6 DR. MASON: It would be 50 times. It's
7 an absolute and not a percent.

8 DR. LEDNAR: Bill, does that answer your
9 question?

10 DR. HALPERIN: No, but maybe now is not
11 the time.

12 DR. LEDNAR: Dr. Parkinson?

13 DR. PARKINSON: Dr. Butler, wonderful
14 results and application. As a matter of fact, I
15 think one of the things that the Board would
16 benefit from is that we learn from our own
17 best-practice examples as to how a Subcommittee
18 using evidence focuses on a problem of major
19 impact, does classic quality-improvement work such
20 as supplying models that are out there, brings it
21 to a rapid recommendation, brings it to the DHB
22 for dissemination, and then where did it go

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 subsequent to that? If we had a crisp
2 description, case study, of this probably
3 represents as far as I know a best practice to the
4 early history of the DHB, not that the DHB did
5 this, it was your Committee and it was good trauma
6 surgeons who did it, but I think summarize this
7 action step in the context of the DHB would be
8 very informative, and if there is an orientation
9 for DHB members it should be part of an
10 orientation material in terms of a best-practice
11 case study. What are we all about here? We're
12 about this. We're about using the leverage of a
13 multidisciplinary DHB with focused expertise
14 around a problem that matters to improve outcomes.
15 I think this needs to be distilled into a best
16 practice in a short order, not a 20-page
17 manuscript, but I think it would be very
18 instructive for all of us because I think it's
19 excellent.

20 DR. BUTLER: I think it has been a
21 wonderful combination of both backgrounds and
22 abilities. I'll be honest that the TCCC Committee

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 was skeptical about being moved under the DHB
2 because we weren't sure how an action- oriented
3 group like that composed mostly of very forward-
4 leaning operators and the medics who support them
5 would integrate into the process here. However, I
6 think the thing that is the redeeming quality is
7 that we have always been committed to,
8 understanding that we're not going to have RCTs on
9 the battlefield, you use the absolute best
10 evidence that you can find, and we look very for
11 it. Second, the best answer in the world doesn't
12 do you any good if you can't translate it into
13 action. We have worked hard to try to figure out
14 how to get people to pay attention to the things
15 that we recommend, and absolutely we'd be glad to
16 work with the board on some of the strategies that
17 we've developed.

18 DR. LEDNAR: Dr. Oxman?

19 DR. OXMAN: I must say I would like to
20 commend Dr. Butler and his colleagues for this
21 fantastic job, and it certainly makes me very
22 proud to be a nominal supporter.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. LEDNAR: If I understand what Dr.
2 Butler would also like is for us not to be only
3 nominal but to be active in supporting the work of
4 the Subcommittee because you have a request for a
5 vote. Is that correct, Dr. Butler?

6 DR. BUTLER: Yes, sir.

7 DR. LEDNAR: Would you like to frame the
8 question for vote to the Board, please?

9 DR. BUTLER: The first vote would be to
10 endorse the battlefield trauma care research
11 priorities with the understanding that they are
12 blended into the context of the many other things
13 that the military does research on. We understand
14 that we only have a little piece of the picture to
15 address, but there are a lot of people selling
16 things to the military that should not be on the
17 battlefield that may be on the battlefield that
18 certainly a lot of research dollars will be spent
19 on. I am mindful of the field surgical laser
20 which is a carbon dioxide laser that was being
21 actively marketed to the military that was going
22 to be placed into the hands of the medics so that

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the staff to find out how best to address it as we
2 did with the recommendations for the JTF Capital
3 Region. We have had no much success in impacting
4 the research priorities of the DoD in the past and
5 whatever we were doing in that area was wrong, so
6 I think we should re-explore that question and try
7 to come up with a good answer.

8 CDR FEEKS: So that this would
9 take the form of a memorandum to the Secretary of
10 Defense recommending that those things be research
11 priorities for the Department of Defense? Is that
12 right?

13 DR. BUTLER: I think it would list all
14 of these things but would have a special emphasis
15 on the top two.

16 DR. LEDNAR: Dr. Shamoo?

17 DR. SHAMOO: I think it will be very
18 appropriate that the Subcommittee give us one page
19 or two pages in writing to see exactly what it is
20 with some minimal paragraphs or two of logic
21 behind it, approved unanimously by the
22 Subcommittee or approved by a majority of the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Subcommittee and come back here for our vote
2 because we're voting on something not definitive
3 to be very honest and I don't feel that I'm
4 competent to vote on it now. Maybe everybody else
5 is.

6 DR. LEDNAR: Dr. Parkinson?

7 DR. PARKINSON: I applaud the effort
8 that you've had a demonstrated effort in the
9 focused efforts on the TCCC training, but just as
10 the DHB is just learning to walk, no less run, I
11 think that probably the executive team or
12 leadership needs to begin to say what are our
13 primary quarters versus our desired future
14 quarters versus whatever. Is for example
15 reviewing DoD's allocation of research monies, to
16 your point, Frank, there's a lot going on out
17 there. Is the first shot across the bow that the
18 DHB wants to make in this area as opposed to
19 saying it's been brought to our attention that
20 there has been perhaps a lack of sensitivity to
21 the TCCC's research things and we'd like a
22 briefing on how the DHB in its new constitution

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 could be of more service to the department in
2 allocation of research dollars? This is a
3 strategy issue with staff and I think it should be
4 thought about a little bit before we pass a
5 resolution and send off a hotwire memo in any
6 event. Again this is late at the end of the
7 meeting and late in the day and we don't good
8 decisions generally, so there might be a more
9 deliberative process here which totally may move
10 that way, Frank, but it may be a little premature
11 right now for us as a body.

12 DR. BUTLER: I understand the concerns.
13 Dr. Shamoo, I absolutely understand that this
14 would benefit from a line or two of explanation
15 under each of these subject headings and I will
16 send that to the staff and let them send it to the
17 Core Board.

18 DR. LEDNAR: I think that will help
19 bring some clarity and focus to really make sure
20 that not only we but as it goes further that the
21 intention of the Subcommittee is well understood.
22 I think that would be very helpful and we look

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 forward to that additional document. Dr. Butler,
2 there was a second aspect of action you were
3 seeking?

4 DR. BUTLER: The second was as the Board
5 knows I think, we maintain a set of TCCC
6 guidelines that are published on the Health
7 Affairs website. We don't publish the changes to
8 the guidelines until we get Board approval and
9 this information will not go out to our medics
10 until we get Board approval. Does it need an
11 action memo from Health Affairs? Do we need to
12 send this up to Ms. Embrey again? I don't know.
13 We could talk about that with the Board, but we do
14 need Board approval before we can get this
15 information out to our medics.

16 CDR FEEKS: What we have is
17 Captain Butler's slides have bulleted points of
18 recommended changes to the TCCC guidelines to
19 address specifically the treatment of burns in the
20 combat casualty care setting by medics in the
21 field, and that's slides 18 through 25. These are
22 the new guidelines that were recommended and that

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 were unanimously adopted by the Trauma and Injury
2 Subcommittee at their meeting and are brought
3 forward now for Core Board approval.

4 DR. LEDNAR: Dr. Poland?

5 DR. POLAND: In looking through these,
6 there are at face value I think are no
7 difficulties medically with them at all. The one
8 concern I was raising is this feels far down in
9 the weeds to me for DHB to be involved in in the
10 sense of we have not ever historically gotten
11 involved at the level of saying an IV rate is
12 going to be this, or a clinical algorithm, or the
13 way something is going to be treated is X. There
14 is nothing wrong them at all. It's just that this
15 be somewhat precedent setting for us to be
16 involved at this level.

17 CDR FEEKS: In fact, sir, you're
18 exactly right that there is a new relationship
19 between the people who write the guidelines for
20 tactical combat casualty care and the Defense
21 Health Board in that the Trauma and Injury
22 Subcommittee effectively isn't now the scientific

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 advisory board for that, and every recommendation
2 that gets passed up requires Core Board approval
3 so that this is new and it's correct.

4 DR. BUTLER: And it may be worth
5 rethinking at some point because there are a lot
6 of fine-tuning things that we do to the guidelines
7 that may not rise to the level where they really
8 absolutely have to be approved by the Core Board.
9 Your time here is limited and I'm not sure
10 everything needs a Core Board look. As we have
11 been directed by Ms. Embrey and Commander Feeks to
12 function at present, you are required to take a
13 look at any change that we make.

14 DR. LEDNAR: Dr. Parkinson, Dr. Shamoo
15 and then Dr. Kaplan.

16 DR. PARKINSON: I think it should be 8
17 cc's instead of 10. I'm just kidding. It's late.
18 I think it's fine, but clearly to my point
19 earlier, we're just learning how to ride this bike
20 and frankly that's okay, so we are now realizing
21 as Core Board members the delegated authority that
22 the Core Board has given to our Subcommittees of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 experts has a tremendous impact, and so if there's
2 a timeliness to this, and I will you as
3 nonsurgeon, nonburn expert primary care doc,
4 simplification of practice guidelines can have a
5 huge impact on outcomes. In other words, these
6 old myths about burned skin and what about the
7 infection risks versus the other, that alone could
8 be very impactful by getting it out in the field
9 and if it's our mission to do that and if it's our
10 charge to do that, I would move that we adopt
11 these guidelines as recommended by our
12 Subcommittee Chair.

13 DR. LEDNAR: Dr. Shamoo?

14 DR. SHAMOO: Fifteen slides to me makes
15 no sense. I would like to see it as a memo from
16 the Subcommittee as to what are the guidelines,
17 one, two, three and the changes, and we'll improve
18 them and they could extract whatever portion they
19 want and put it on the website rather than approve
20 just a set of slides. I just don't see us doing
21 that if this is precedent setting.

22 DR. LEDNAR: Dr. Kaplan?

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. KAPLAN: I think I would agree with
2 Adil. I've been writing guidelines for many, many
3 years and I agree that simplicity is important.
4 On the other hand, if they come out as a
5 guideline, I think they need to be formatted in
6 which a way, and maybe they are, that they have
7 appropriate references with them rather than just
8 a series of slides. Perhaps that's what you
9 already have, but I would rather than see them
10 formatted before I would care to vote for them at
11 this point in time, not that there is anything
12 wrong with it. I'm not qualified to say so, but I
13 think that I need to look at them a little bit
14 more.

15 DR. LEDNAR: Dr. Silva?

16 DR. SILVA: This is an important area
17 that we're all burrowing our way through and I
18 like your term, Mike, riding the bike.

19 I have two comments, one about this set
20 of guidelines and the previous one. I should have
21 spoken up at that point. A lot of professional
22 societies, all kinds of hospital systems, have

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 guidelines, but I think the caveat here is it's
2 just a guideline, it's not the standard of
3 practice, we got to be careful here, and that's
4 also been weighed in on by our civil courts in
5 medical malpractice. In California I serve as a
6 consultant to our prison system where we're now
7 looking at the standard of practice of physicians
8 and this keeps coming up. So I'm highlight the
9 sunset here down the pike as we get into
10 guidelines that it's just a guideline, that it's
11 not a standard of care. If we cross the other way
12 then all kinds of things will ripple out of this.
13 This is a caveat.

14 In terms of the first slide on research
15 priorities, I think a lot of these look good but I
16 feel uncomfortable like anyone here because I
17 don't know all the research going on. I think
18 whatever we draft we send it up. We should have
19 some feedback from DoD from whoever runs the
20 research programs to say why they didn't fund this
21 one or that one or why the priorities are
22 different. We're not here to guide research I

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 don't think. That's not within our mission.

2 DR. LEDNAR: I think on the last point
3 that Dr. Silva brings up, what we can do is
4 recommend to the Department that certain people
5 talk to each other about research and together
6 develop what's a rationalized for DoD set of
7 priorities because we don't have all of the
8 information, and until that discussion occurs with
9 Dr. Butler's group and perhaps others who are
10 much closer and much more expert in this, we won't
11 get to the right set of priorities that should be
12 going forward. Dr. Oxman?

13 DR. OXMAN: I'd like to respectfully
14 disagree with Dr. Silva about guidelines, and that
15 is when the CDC or any other body has guidelines,
16 they have the force of law because if I do
17 something other than the guidelines and I am sued
18 for malpractice, for example, who is Mike Oxman to
19 contradict guidelines from the CDC even if they're
20 incorrect as they have been in the past? So I
21 think guidelines often do have the force of law.
22 But I think also that we have a new Subcommittee

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 in a sense and they're doing work that's very
2 time-dependent. Our troops are being wounded as
3 we speak and so I think we have to balance our
4 care and conservatism with the need to move
5 quickly. I guess the Executive Committee will
6 have to figure out how to do that, but I would
7 urge us that if we have a Subcommittee that works
8 hard and that has expertise that we don't have, we
9 need to be able to support them, we need to be
10 able to trust their judgment as much as possible,
11 and in this particular area we need to move
12 quickly.

13 DR. LEDNAR: Dr. O'Leary and then
14 Commander Feeks?

15 DR. O'LEARY: On Mike's point actually I
16 think in the world of medical malpractice, the
17 issue is not guidelines, it is the standard of
18 care which is different, and compliance with
19 guidelines can be used as an affirmative defense
20 in the case, but it is not the standard of care,
21 that's different, and the reason for this
22 distinction is because there are all sorts of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 guidelines, and as you know, some guidelines are
2 in conflict with each other. Guidelines often
3 reflect a certain unintended bias sometimes and
4 intended bias. All that having been said doesn't
5 mean that it's not important to pay careful
6 attention to the guidelines, but I don't want them
7 to be made out to be more than they are.

8 DR. SILVA: The standard is what a
9 reasonable and prudent practitioner would apply.

10 DR. O'LEARY: That's right.

11 DR. SILVA: That's the standard. That's
12 been laid out in courts of law in numerous state
13 supreme court levels and our Supreme Court.

14 DR. LEDNAR: Commander Feeks?

15 CDR FEEKS: What you see happening
16 today is going to happen more and more often as
17 the Defense Health Board grows into its new
18 identity. You have Subcommittees populated by
19 subject-matter experts who forward recommendations
20 to the core of the Defense Health Board which is a
21 strategic decision-making body. Just like any
22 other strategic decision maker, you don't have the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 luxury of only making decisions about stuff you
2 know a lot about. There are people on this Board
3 who know a lot of stuff that you don't much about,
4 but we have a collection of very bright people who
5 are not being asked to make strategic decisions
6 based upon the recommendations of very bright o
7 who know a lot about what they're talking about.

8 Historically our ancestor organizations
9 didn't even have any trauma or surgery expertise
10 at all. The Defense Health Board now covers the
11 spectrum of all matters related to health
12 including now one of our newest Subcommittees,
13 trauma and injury, and they have looked very
14 carefully at this and they think that this set of
15 guidelines for burn care in the battlefield
16 setting by battlefield medics is the right thing
17 to do, and now they've presented it to a strategic
18 decision-making body for action.

19 DR. BUTLER: If I could jump in here, I
20 really appreciate the comments of the Board and I
21 think based on the comments I need to take another
22 minute and explain to you how these guidelines are

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 developed and published.

2 If you look on the website, the website
3 has the guideline.

4 CDR FEEKS: This is the MHS
5 website. Right?

6 DR. BUTLER: This is the Military Health
7 System website. It says here is what you do, and
8 that's what we conveyed to the 21-year-old medic
9 who has to learn everything about medicine that
10 he's going to know in 16 weeks. It is here is
11 what you do. As physicians, do we need to justify
12 the things that we're recommending? Of course.
13 So the way that we provide the scientific
14 background is we work with the American College of
15 Surgeons' Committee on Trauma, we have a huge
16 section of the "Prehospital Hospital Trauma"
17 manual that we own. I for better or worse am the
18 editor of the military version of the "Prehospital
19 Trauma" manual. If you wish to explore the
20 scientific basis and the evidence that we have for
21 the things that are in the 10 pages of guidelines,
22 I would commend for your reading pleasure the 500

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 pages that are in the "Prehospital Trauma Life
2 Support" manual that does provide extensive
3 documentation and available evidence for what
4 we're recommending with the caveat, again, there
5 are no RCTs on the battlefield so that we do the
6 best we can with what we have.

7 DR. LEDNAR: Dr. Luepker, then Dr.
8 Poland?

9 DR. LUEPKER: I am not an expert in
10 this. I however understand the urgency of getting
11 the state-of-the-art recommendations to the field
12 and I'm not deterred by the slides. I think if
13 it's a formatting issue, we can vote on it and let
14 experts format it. I think this should come to a
15 vote today. I don't think we're going to get
16 more.

17 DR. LEDNAR: Dr. Poland?

18 DR. POLAND: Frank, I think you just
19 said something to me. I think you implied that
20 through the ACS that these are vetted through a
21 professional organization.

22 DR. BUTLER: Through three professional

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 organizations, the Committee on Trauma, the
2 National Association of EMTs, and the Prehospital
3 Trauma Life Support group.

4 DR. POLAND: That I think changes things
5 considerably. I know that when we attempt to come
6 up with guidelines at the Advisory Committee on
7 Immunization Practices for just a vaccine if you
8 will, we'll spend a half-day hearing the evidence
9 base in order to vote. I think it's a very
10 different thing if those guidelines are evidence
11 based and have been vetted through professional
12 organizations, in this case multiple ones. I
13 think it becomes not quite this, but almost a
14 little bit more pro forma then for us as a Board
15 to have heard that, to hear from our own expert
16 Subcommittee that they agree with these vetted
17 guidelines through professional societies and I
18 think makes our job and my conscience a little
19 easier that I don't have to go and review all of
20 those data before I vote on something like this.

21 DR. LEDNAR: Dr. Kaplan?

22 DR. KAPLAN: Can you interchange the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 word "vetted" and endorsed? If they've been
2 endorsed by those groups, and I have no problem
3 with it either.

4 DR. POLAND: That's what I meant.

5 DR. KAPLAN: You meant endorsed?

6 DR. POLAND: Endorsed.

7 DR. KAPLAN: Let the minutes show that
8 you agreed with me.

9 DR. BUTLER: I would add to this already
10 complex discussion the fact that while the
11 Executive Committee of the Prehospital Life
12 Support Group has looked at the chapter that is
13 going to be included, the new manual is not coming
14 out until September 2010, but all the chapters are
15 in and we have the burn chapter.

16 CDR FEEKS: I was looking for the
17 American College of Surgeons' page. Thank you,
18 sir.

19 DR. BUTLER: For the prehospital trauma
20 section, the American College of Surgeons endorses
21 the guidelines that are developed by the
22 Prehospital Life Support Executive Council which

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 is a collaborative effort of the National
2 Association of EMTs and the Committee on Trauma so
3 that the entire American College of Surgeons
4 hasn't see these, the entire Committee on Trauma
5 has not see them, but the PHTLS group has seen
6 them.

7 DR. KAPLAN: So the word is endorsed.
8 Can you say that these guidelines as Greg pointed
9 out have been not vetted bur endorsed by an august
10 group like that before?

11 DR. BUTLER: We will be able to say that
12 in September 2010 when it's published and there's
13 the actual seal of endorsement from the American
14 College of Surgeons.

15 DR. KAPLAN: But for all intents and
16 purposes, it has been endorsed, we're just waiting
17 for the printing presses to get rolling?

18 DR. BUTLER: That is correct.

19 DR. KAPLAN: If it's been endorsed then
20 I have no problem with it.

21 DR. LEDNAR: Dr. Parkinson?

22 DR. PARKINSON: This is to my point that

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 what we have is we have a charter and we have
2 bylaws, we don't have an OPs manual for the
3 Defense Health Board and we need to start creating
4 that yesterday. I know you've got everything else
5 on your plate Ed, but guidelines approval, how
6 does it work from the Committees? Obviously the
7 criteria is that it's important, it's neither
8 necessary, it may not even be sufficient for it to
9 be endorsed by an outside. There will be military
10 unique things where they will not be able to go
11 perhaps to the Prehospital Committee of the ACS
12 because they've never seen these types of stuff
13 from the military. So somewhere there needs to be
14 for the five or six actions of the DHB which
15 comprise 90 percent of our work, we need an OPs
16 manual that is informative to our Committees and
17 that is informative to our Board members both for
18 orientation and for preliminary vetting with a
19 minimal amount of bureaucracy and a minimal amount
20 of codification so we facility this. This is not
21 surprising, it's a healthy dialogue, but all the
22 more reason that the OPs manual build has to

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 start.

2 DR. LEDNAR: Dr. Shamoo?

3 DR. SHAMOO: Before something we were
4 discussing on research, and I was going to ask the
5 leadership is the research portfolio of DOD on
6 health part of our own prerogative here to discuss
7 and make decisions? My understanding was yes if I
8 remember the charter and the bylaws, but if that
9 is true, then we need to look at the mix of
10 research rather than keeping those in one thing at
11 a time and we don't know how this fits.

12 CDR FEEKS: This is a recommending
13 body to the Secretary of Defense and there is no
14 reason why it can't make recommendations on areas
15 of research, but I think your point is well taken
16 that it should be in the context or it should take
17 the whole picture into account.

18 DR. LEDNAR: What I've heard is that
19 there has been a lot of discussion before today by
20 people who are very close to this issue of trauma
21 care. It's been very thoroughly evaluated by our
22 Subcommittee. It's been brought here for us to

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 understand. I think that Dr. Shamoos raised a good
2 point, and with Dr. Kaplan I heard a similar
3 thought that it's a little hard to know what we're
4 voting on without a little bit more packaging of
5 the thought, and I expect that for Dr. Butler that
6 all of this is already there, it's a matter of
7 perhaps working with the staff to just pull this
8 together into a document.

9 With that said, I come back to Dr.
10 Luepker's thought and that is will there really be
11 any additional value to delay a vote by the Board
12 on the basis of what we've heard? Do I hear a
13 motion.

14 DR. SILVA: So moved.

15 DR. SHAMOO: I want to hear what the
16 motion is.

17 DR. LEDNAR: Can someone propose a
18 motion? Dr. Silva?

19 DR. SILVA: I propose that we approve
20 the publication as soon as possible since lives
21 are on the line of the recommendations related to
22 tourniquets and also fluid replacement in burn

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 therapy. Does that help, Dr. Butler?

2 DR. BUTLER: It absolutely does. Thank
3 you.

4 DR. LEDNAR: Second?

5 SPEAKER: Second.

6 DR. LEDNAR: Is there any discussion?

7 DR. KAPLAN: Yes. A question, now or in
8 a new format?

9 CDR FEEKS: What's being proposed
10 is that the new guidelines for treatment of burns
11 in the battlefield setting by combat medics be
12 adopted. That's what's being proposed.

13 DR. OXMAN: Now?

14 CDR FEEKS: Yes.

15 DR. OXMAN: Recognizing that with staff
16 help it'll be put into a format that's a little
17 more typical than a set of four slides?

18 DR. SHAMOO: I would like to see it in
19 writing. I'm not going to vote on a set of slides
20 on an oral discussion that I don't know what it is
21 going to be. So I'm going to withhold my vote if
22 I'm going to vote on something I don't know

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 anything about. I need a document to be
2 responsible for my vote on it.

3 DR. BUTLER: Can anybody pull down the
4 TCCC guidelines from the website now? As I said,
5 there's a 10-page set of guidelines. What we
6 would do is take the text that's in those slides
7 and incorporate those into the 10 pages of
8 guidelines that are already there so that it would
9 be a cut and paste into a 10-page existing
10 document, and the document is available on the
11 internet. Maybe we could get it up on the screen
12 somehow.

13 DR. LEDNAR: Dr. Parkinson?

14 DR. PARKINSON: If I can propose that
15 we're all well intentioned here but we're trying
16 to put 5 pounds into a 2-pound bag here all of a
17 sudden because we don't have our OPs manual
18 processes right, and it's more important I think
19 that we do this right the first time than we
20 create a sturm and drang, if we could say rapid
21 cycle, whether that's 24 hours or something, we
22 know where it is on the website and we can print

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 it off and put it in an email attachment to the
2 Board members in an hour. We could give the
3 Executive Committee the authority absent any
4 strong objections, but I think Adil's point is
5 absolutely right. It was there. I didn't know
6 about it. Frankly, four slides with 10 bullets is
7 good, but it's an intro. So I'd feel much more
8 comfortable if we were true to a process and begin
9 to develop that process using this as our first
10 time out of the chute. There is no attribution
11 here. It's just doing it better than we would if
12 we just approved right now.

13 DR. LEDNAR: Is there any further
14 discussion? I'm going to need some help on
15 Robert's Rules of Order.

16 DR. SILVA: I'll withdraw the motion.

17 DR. LEDNAR: So the motion that Dr.
18 Silva is withdrawn. What I hear as a proposed
19 path forward is, Dr. Butler, that you with the
20 help of the staff pull together a document, you
21 can link or direct us to useful reference
22 materials, but to build a document then that can

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 be shared with the Core Board, and the Core Board
2 commits that we will have a prompt review and vote
3 on that.

4 DR. BUTLER: I can do that.

5 DR. LEDNAR: Commander Feeks?

6 CDR FEEKS: I was going to say if
7 it seems new to us, I think that this is a species
8 of a recommendation and recommendations are what
9 this Board has always been about. If this is a
10 new-looking species, it will become more familiar
11 the next time and the next time, so that that is
12 one thing. The next thing I would say is you are
13 not obliged to redo the work of the Subcommittee
14 because they've already done that for you. That's
15 all.

16 DR. LEDNAR: I think that's understood.
17 To Dr. Parkinson's wise counsel to us, we want a
18 streamlined, efficient, bureaucratically scrubbed
19 process that we can use because this kind of issue
20 will come up increasingly in the future. Dr.
21 Kaplan?

22 DR. KAPLAN: I think what you've said is

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 fine with me, to see a document. The other thing
2 I was about to say was that if I get up a leave
3 it's not because I'm mad, it's because we have to
4 catch an airplane.

5 DR. LEDNAR: I think one other aspect as
6 our operations practice is evolving that I would
7 suggest is that we understand this approach that
8 the DHB staff work with the briefers who are
9 coming to the Board as much as possible to present
10 at that time something that would be easy for us
11 to vote on so that we don't add the extra step of
12 leaving the Board meeting and needing to prepare a
13 document after the fact.

14 DR. BUTLER: When I redo the guidelines
15 and incorporate these five slides into five lines
16 in the document, I will highlight those in red and
17 I'll remind the Board that everything in the
18 document except for those five lines has been
19 approved by ASD Health Affairs previously so that
20 it's not a vote on the entire set of guidelines
21 because many of what's in there might be new to
22 you.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 DR. LEDNAR: I think we have a plan
2 going forward. Thank you, Dr. Butler, for this
3 discussion and presentation, and we look forward
4 to receiving your document to the Board just as
5 soon as you're able to do that. I'm going to
6 suggest that we do one additional brief and then
7 we're going to break for lunch. I take that back.
8 With the very wise of our preventive-health
9 thinking for our health, Commander Feeks says in
10 fact that the food has been laid out. The time
11 and temperature considerations suggest that we not
12 wait another hour before we consume what's there.
13 So we're going to ask Commander Feeks to give us
14 instructions and what we're going to do next.

15 CDR FEEKS: It's been a really
16 full day and we still have some more work to do.
17 I appreciate everyone's patience. Let's get our
18 lunch and bring it in here and we'll continue. So
19 let's resume our work in 15 minutes.

20 (Recess)

21 DR. LEDNAR: I'd ask if we can continue.
22 Please feel comfortable to eat your lunch. I hope

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 that's okay with Colonel McPherson. Let me
2 introduce Colonel McPherson who is our next
3 speaker. She is currently serving as the
4 Executive Secretary of the DoD Task Force on the
5 Prevention of Suicide by Members of the Armed
6 Forces. Prior to this recent appointment, Colonel
7 McPherson served as the Chief Financial Officer
8 for the Air Force Medical Service. There she was
9 responsible for the execution of \$5.1 billion
10 annual budget serving 74 military treatment
11 facilities and 2.6 million beneficiaries
12 worldwide. Colonel McPherson was the key fiscal
13 adviser to the Surgeon General, Headquarters AFMS
14 Staff and the Major Command Medical Staffs on all
15 Air Force Medical Service policy matters. She had
16 specific responsibility for financial statement
17 preparation and audit readiness for AFMS in
18 support of the Defense Health Program's budget
19 submissions and prepared the Air Force Surgeon and
20 Deputy Surgeon General to represent AFMS positions
21 on financial matters appearing before the Senior
22 Military Medical Advisory Council, Congress, CSAF

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 and CKAF. Her presentation slides may be found
2 under Tab 13 of your meeting binders. Colonel
3 McPherson?

4 Col MCPHERSON: Thank you. As you
5 may have recalled from an earlier introduction, I
6 began work at this job last week. I had my
7 training on FACA Wednesday and Thursday. I met
8 General Volpe on Friday. On Tuesday we had our
9 first Task Force meeting, and since Wednesday
10 evening I've been with this August group.
11 Therefore, in my continuing quest to smash 3
12 months of learning into 10 days, I am providing
13 you your update this morning on the Suicide
14 Prevention Task Force. I would ask your
15 indulgence of my neophyte status. I would rather
16 not be perceived as the gentleman on the screen.

17 A quick overview. Obviously, the Task
18 Force membership questions, this will be the
19 shortest briefing you have today, quick summaries
20 from the October and November meetings and then
21 where we're planning on going in the future.

22 Neither General Volpe nor Ms. Carroll

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 were able to be here today. Ms. Carroll was here
2 yesterday. She's flying off to Germany this
3 morning and hopefully one of them will be
4 available at the next update, otherwise I will be
5 here with your next quarterly update.

6 The questions to be addressed by the
7 Task Force are mandated in Section 733 of the 2009
8 NDAA. They generally fall into three basic
9 categories, trends, causal factors, effects of
10 deployments, specialties affected or most affected
11 by suicides in the military, then education and
12 preventive programs, what's in place, what's
13 working, suggestions for ways ahead on those. And
14 then a plethora of questions related to
15 investigations and how we do investigations and
16 how investigations are standardized.

17 In August they had a very quick
18 organizational meeting where they got their
19 training and learned about special employees and
20 regular employees, and their first public meeting
21 was October 1 and there was a review by each of
22 the services on the suicide prevention programs

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 that they do have in place at this time. We also
2 had information from Army Surveillance and
3 Research, and of course the Armed Forces Institute
4 of Pathology.

5 October 8 was a meeting in San Diego in
6 conjunction with Ms. Bonnie Carroll's program, the
7 Tragedy Assistance Program for Survivors which was
8 founded by her in the early 1900s when her husband
9 was killed in a C-12 airplane crash and has now
10 taken on a specific focus for survivors of
11 suicide. There were several briefings provided.
12 The DODSR report was gone over, the DCoE folks
13 were out there and then the rest of the day was
14 spent with families who had lost a loved one to
15 suicide. Then for several of the Board members
16 who stayed, the Task Force members stayed and
17 spent the weekend with a group of 350 family
18 members who had lost their loved ones to suicide
19 and experienced what Ms. Carroll's program is
20 about.

21 November 10, Tuesday, we went had
22 additional briefings from the DCoE and excellent

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 briefings by General Sutton, Colleen McGuire and a
2 superb briefing by Colonel Hoge. I think most of
3 you are familiar with some his work which was
4 interesting, CHPPM briefed, and in the Service
5 panel discussion we had three young ladies who had
6 both attempted and survived their suicide attempts
7 talked to us. There were very striking
8 similarities in their stories which rolled in with
9 a lot of the information that Ms. Carroll is
10 discovering in her work with suicide and the
11 family members of suicide victims.

12 For the future, I think most of you have
13 heard that General Volpe is moving out to Madigan
14 Army Medical Center in the February-March
15 timeframe. He will still continue to be a
16 Co-Chair of the Task Force. We are though going
17 to accelerate a lot of things prior to his
18 departure. The game plan right now is there is a
19 prep session just to get organized since I just
20 came on board and look at our way ahead, do we
21 want Subcommittees, what's our travel schedule
22 going to be, what is the schedule working backward

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 from August when our report is due to Congress,
2 what else are we going to do and how are we going
3 to run the Subcommittee. Then December 15 our
4 focus is on the large number of questions related
5 to investigations and how we investigate. Then in
6 January there is also the DOD/VA Joint Conference
7 on Suicide Prevention which rolls immediately into
8 the next Task Force meeting. Pending your
9 questions, that is the extent of the comments I
10 was prepared to make today.

11 DR. LEDNAR: Colonel McPherson, you have
12 in your usual way jumped right into the saddle and
13 are galloping off on this. We are impressed by
14 how much you've done in the last 2 weeks.

15 A thought for you. The meeting on
16 December 15 is called to my mind. That's the
17 thought of root cause understanding, and obviously
18 one way to look at suicide attempts is it's a
19 tremendously significant plea for help in someone
20 who's feeling overwhelmed and without other
21 options. The thought I'm having is not to
22 overmedicalize this issue in how we go at it or

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 how we would propose solutions. In some ways this
2 issue of suicide may reflect how Service members
3 are able or not to live and operate in the culture
4 with the demands of being in the military these
5 days, whether it's OPs tempo or other things. An
6 analogous message from the civilian setting that
7 we try to reinforce is that the health and safety
8 of the work group is the responsibility of the
9 line commander. We in the medical field advise,
10 offer counsel, engage resources, but the
11 responsibility for the health and well-being of
12 the service members rests with the line. To the
13 extent that they're not only involved but
14 understanding what are all the levers that are
15 involved in what could be this outcome of suicide
16 beyond the medical, that I think will be very
17 important to having a solution which is both
18 sustainable and effective.

19 Are there other questions or comments?

20 Dr. Silva?

21 DR. SILVA: Thank you for assuming this
22 important post. I think everyone knows here knows

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 that suicide in the military is a big issue for
2 the country. It's really touched all of our
3 citizens. Have the rates gone up or down -- I
4 know you're new to the post -- versus in the field
5 versus in the United States on return from a
6 mission?

7 Col MCPHERSON: The overall rate for
8 the Army has just now matched the civilian rate of
9 about 20 per 100,000. From the information I've
10 briefly reviewed, we are seeing more of them at
11 home than we are seeing in the field. The
12 briefings from the first one reviewing the minutes
13 were very clear that every single one of the
14 Services briefed at that time that were not seeing
15 a correlation with number or length of deployments
16 with the suicide. I've learned a new term which
17 is the confounding of data. Because so many of
18 them are Reservists and Guards and once they move
19 out we are not tracking to find out if they then
20 later on commit suicide, so that's one of the
21 things that we'll be looking at. There does not
22 seem to be any tracking once you've departed

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 active Service, but right now the data that we saw
2 shows that there is not a correlation to number of
3 deployments and that are much more still happening
4 at home versus in the field.

5 MR. MIDDLETON: Dr. Silva, one of the
6 things that might help as well is that as Colonel
7 McPherson said, in the Guard and Reserve because
8 of the vagaries with which some of these are
9 reported by local coroners, there's not a really
10 good tracking system for the Reserve and Guard to
11 determine exactly if there is a correlation of a
12 deployment and an actual suicide versus something
13 that's reported as a different cause of death, and
14 it's one of the confounders that we have in the
15 Department and it's one of the things that I know
16 the Task Force is going to wrestle with. We
17 talked the Assistant Secretary of Reserve Affairs
18 office about the same issue so that we will have
19 an understanding on the reserve and guard side.
20 The actives are a little clearer numbers, but on
21 the Guard and Reserve side it's really a problem.

22 DR. SILVA: At U.C. Davis School of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Medicine in the last 3 years we've had two
2 suicides in men and all have had Iraqi experience.
3 I don't know how to get my arms around that
4 problem. I know the AAMC is worried about it, but
5 that's only one cohort of people in the military
6 who train. Maybe the Dean at USHUS could talk to
7 the people in the AAMC about accumulating data
8 because I hear about it grapevine and I haven't
9 had time to confirm it, but a nearby school has
10 had a similar experience with veterans returning.

11 MR. MIDDLETON: I'll pass that to
12 Colonel McPherson. Maybe that's one of the
13 considerations she could use with the Task Force
14 to talk to Dr. Rice over at the university.

15 DR. HALPERIN: Again recognizing that
16 you're new to the job, I see that Colonel Hoge
17 has briefed your Panel, but are there
18 epidemiologists and public health people on the
19 panel?

20 Col MCPHERSON: Sir, I don't believe
21 so. I'd have to go back. Their names are listed
22 and I have their biographies. They're with me in

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 a briefcase. I could take a quick look.

2 DR. HALPERIN: It's my obtuse way of
3 making a suggestion that there ought to be.

4 Col MCPHERSON: Congress determined
5 who was to be on the Task Force.

6 DR. HALPERIN: That's a problem. There
7 might be a way to staff the Panel with some
8 consultant public health -- then the next question
9 I want to ask you again not expecting that you
10 would know with being there for 5 working days.
11 Is there a liaison between this Panel and the
12 Millennium Cohort?

13 Col MCPHERSON: Not specifically,
14 but we have learned about them and right now my
15 Booz Allen staff is making contact with them
16 because that became very apparent all through the
17 briefings on Tuesday that we need to be talking to
18 those folks out there. It's going to be very
19 interesting to see what they come up. That's
20 going to be an incredible source of information.

21 DR. LEDNAR: Dr. Shamoo?

22 DR. SHAMOO: I have extensive experience

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 with the National Alliance for the Mentally Ill.
2 I used to be on their board of directors as a
3 matter of fact. They are very familiar and they
4 deal with issues. They are the largest
5 organization with issues of suicide. They have a
6 committee on military personnel, issues of mental
7 illness and suicide. So I would suggest if they
8 could help you may as well knock on the door
9 and see if they could be helpful.

10 DR. LEDNAR: Are there any other
11 comments for Colonel McPherson?

12 DR. COHOON: Barbara Cohoon of the
13 National Military Family Association. I know
14 you're chartered by Congress, but I think it would
15 be very interesting to know as far as the impact
16 on the family member and family members of those
17 who were left behind especially since Bonnie
18 Carroll is looking at that particular piece. We
19 do hear that they are left with a lot of issues.

20 Also to you in looking at suicide as far
21 as the family and are we looking at children or
22 adolescents or the spouse themselves because of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the frequent deployments? We are not really doing
2 a very good job of tracking of that. I know that
3 in the NDAA that just passed they're going to be
4 looking at the impact of the war on children, but
5 since you're looking at suicide, I think it would
6 be important to know how many suicides we've seen
7 within family members because of the frequently
8 deployments.

9 DR. LEDNAR: Are there any other
10 comments? Colonel McPherson, we look forward to
11 work that your Task Force is doing and hearing
12 updates, and obviously if there is any way that
13 the Board can help connect resources within the
14 board to the work of your Task Force, we are
15 certainly eager to do that.

16 Col MCPHERSON: Thank you.

17 DR. LEDNAR: Thank you. Our next agenda
18 item is a presentation by Commander Jim Hancock.
19 Commander Hancock was deployed as the Joint Task
20 Force Surgeon for multiple missions in support of
21 Naval Special Warfare. Commander Hancock and his
22 team developed and deployed the new concept of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 operations for resuscitative surgery aboard small
2 combatant ships engaged in distributive operations
3 which serve as the prototype for today's
4 expeditionary resuscitative surgical system. In
5 spring 2008, Commander Hancock deployed as Task
6 Force Surgeon with the 2nd Battalion, 7th Marines,
7 in support of Operation Enduring Freedom. Faced
8 with extended casualty evacuations, Commander
9 Hancock developed and deployed the Tactical Trauma
10 Team concept with mobile trauma bays providing
11 advanced resuscitative trauma far forward in
12 support of expanded company operations. In
13 February of this year, Commander Hancock was
14 selected as the Navy and Marine Corps
15 representative on the Chairman, Joint Chiefs of
16 Staff's Grey Team which is tasked to evaluate and
17 advise the Chairman on all facets of traumatic
18 brain injury treatment. Subsequently, he deployed
19 back to Iraq and Afghanistan in efforts to
20 optimize the treatment of traumatic brain injury.
21 Commander Hancock currently serves as Director,
22 Medical Services, Naval Hospital, Camp Lejeune,

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 and serves on the Navy's Trauma Advisory Council.
2 His personal decorations include the Legion of
3 Merit, Purple Heart, Meritorious Service Medal,
4 Two Awards, Joint Meritorious Medal, Navy and
5 Marine Corps Commendation Medal, Two Awards, and
6 Navy and Marine Corps Achievement Medal, Three
7 Awards. Commander Hancock, we appreciate your
8 traveling up from Camp Lejeune to share your
9 experiences with us.

10 CDR HANCOCK: That was a whole,
11 big discussion to say that you have a simple
12 emergency physician standing in front of you, and
13 what I hope to do today is bring you the story of
14 what your work is doing so as you go on these long
15 days and you eat your meal as you go, why are you
16 doing it? I hope to bring that to you today. So
17 we'll talk a little bit about what's going on in
18 Afghanistan and why it's different than what we
19 saw in Iraq, why it's different than what I saw in
20 Kosovo, why it's been different than other way
21 that we've had. We'll talk a little bit about
22 NATO because this board has to be cognizant of the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 fact that we work well within ourselves, but what
2 happens when we go outside ourselves. Then we'll
3 talk a little bit about my passion which is
4 adaptive resuscitative medical efforts and combat
5 training for medical assets and why that's
6 important, and why the Board should understand
7 what that means.

8 What you normally have sitting here at
9 your Board is a 20,000 foot view. You really look
10 from the very stratosphere to try to make these
11 strategic decisions. What I hope to do is bring
12 you to ground zero.

13 Ground zero in Afghanistan for me in
14 March 2008 was the fact that I was supposed to
15 deploy on a mission that involved the training and
16 mentoring of Afghan police. We as a battalion
17 were supposed to go through and replace Army unit
18 and establish FOBs and replace them. The reality
19 is as you may remember from the news that in 2008
20 the Taliban didn't want to play our game. They
21 changed the table. The reality was that General
22 Cohen said to us, "Marines have to be Marines. I

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 want you to go be Marines." What did that
2 involve? That involved the nonsequential taking
3 over, the simultaneously taking over of 10 FOBs
4 over 11,000 square miles. So my AOR was to
5 provide trauma support to the State of New
6 Hampshire with a single shock trauma platoon which
7 is 20 people, an emergency physician and a family
8 physician, and our indigenous assets. That's why
9 when Dr. Butler talked to you about having to have
10 that pretraining why it's so important.

11 The reality is that that mission
12 statement often goes unnoticed, that little red
13 part there, the counterinsurgency operation,
14 because we in the Marine Corps do not deploy
15 without a special MAGFT. What does mean to you?
16 It means that we don't normally deploy without our
17 own support and logistics support, but this was
18 supposed to be a training mission and it turned
19 into something quite different.

20 The reality was that our AOR was 11,000
21 square miles. When you compare that to what
22 happened in Iraq, it was significantly different.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 What you see outlined is our AOR for each company
2 versus what we had the year before in Iraq. The
3 reality of Iraq is that we were very successful.
4 We took a trauma support system and we made it the
5 model that you saw attributed there. In fact, if
6 you had -- in Iraq in those years, you probably
7 could get it analyzed before it defused by
8 helicopter. In other words, we had acute trauma
9 support available.

10 That was very different than in
11 Afghanistan. In Afghanistan, time and distance
12 was of the essence. In Afghanistan, you had a
13 population that was strung out. The Chairman
14 likes to say that we are rebuilding in Iraq and
15 we're building in Afghanistan. It is very
16 different. You're talking about a population that
17 has a life expectancy of 42 years of age, a
18 population that understands and appreciates that
19 one-third of their women will die in childbirth.
20 One-third of their children will die before the
21 age of 6 of disease or injury. It's a reality of
22 their world. You're talking about a population

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 who has been fighting since the beginning of time.

2 It's not built up at all. The reality
3 is that there is one single road in all of
4 Afghanistan. That road is called the Ring Road.
5 It has the dubious distinction of being the most
6 mined road in all of the world. Why is it
7 important? It's important because as we try to
8 provide medical care, as you make your decisions
9 to provide medical care across the DoD, the
10 reality is that there are times when our enemies
11 understand it better than we do.

12 On a normal mission to a FOB, this was
13 our mission, just trying to go visit the FOB to
14 understand what was going on, what can happen to
15 you on a daily basis?

16 (Video played)

17 CDR HANCOCK: IED; one dead
18 immediately. Both of my gunners were shot. Now
19 come the RPGs. Understand this was not a combat
20 mission. This was a mission for the resupply of
21 medical assets to the FOB. This had nothing to do
22 with combat. Understand that this was done at

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 about 10,000 feet. Understand that you're on a
2 mountainside. Ladies and gentlemen, I will submit
3 to you that if we can provide trauma care at this
4 edge, that we can provide trauma anywhere in the
5 world in any conflict at any time.

6 The reality was that in his atmosphere that was
7 brutal, in this atmosphere that I saw 140 degrees in
8 July, minus 40 in February all in the same place, in
9 an atmosphere where you've had a drought for 10 years,
10 in an atmosphere when you have 120 days of wind in
11 Southern Helmand Province. What does that cause?
12 What you see here is a brownout. We had great
13 resuscitative capability planned for that day until
14 the brownout came and we lost of our helicopters
15 because we couldn't fly in it. This stuff will get
16 into everything. This sand is so fine that it is like
17 confectioner's sugar.

18 The reality is that the Afghan people are affable,
19 they're hard working, and most of them, at least the
20 educated ones which is 98 percent of the population,
21 they just want this war to be over. The reality is
22 that in their culture they grow poppies like we grow

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 corn. This is the village center. They're not trying
2 to hide their poppies. It's not like growing
3 marijuana in California. This is their culture and
4 this is what they provide. The reality is that
5 anywhere you find water in Afghanistan and green,
6 that's where you're going to find families. You're
7 going to find culture. The reality is that they live
8 in these mud huts, and you wonder why disease and
9 famine is there. The fact is that they live with
10 their pets, they live with their livestock, they live
11 in their culture without any heat, any running water
12 or anything that goes with that.

13 The reality is that no matter where you look in
14 Afghanistan you're going to see the ravages of war.
15 These people have been fighting since the beginning of
16 time. Several distinctive attempts have been made to
17 occupy this country, whether it's the castle of
18 Alexander the Great, or the modern warfare of you see
19 with the Russians in the 1980s when they failed to
20 succeed and take over this country.

21 The reality is that this harsh environment breeds
22 things that you'd never imagine, whether it's the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 neurotoxic elaphids of the snakes that we currently
2 don't have good antivenom for, all the way to the
3 dogs. The Afghan people and Michael Vick have a lot
4 in common. They enjoy dog fighting. It is part of
5 their culture. This is Cujo. Cujo lived outside our
6 FOB. He weighed about 180 pounds. It was interesting
7 though, because every day we had a combat day, you
8 could hear the dogs howling. Why was that? Because
9 it was not unusual to drive out of the FOB and see
10 Cujo feasting on human remains. That's how he
11 survived.

12 All the way to the scorpions. I've been by scorpions
13 in the deserts of Iraq. It hurt, it stung, it was
14 bad. When I was stung by a scorpion in the fields of
15 Afghanistan, what I can tell you is it felt like a
16 ball bat. Furthermore, we've had 20 isolated cases of
17 abdominal compartment syndrome without pancreatitis
18 from this little bugger. We can't explain it, but
19 it's there.

20 NATO medicine. I would ask you to close your eyes and
21 think back to the "Star Wars" movie to the bar scene,
22 and what you would see is NATO medicine. Why is that?

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Because you're going to see different uniforms,
2 different languages, different ways of doing
3 everything. All of them are right in some contexts.
4 In some context to some degree all of them are right,
5 but it's different.
6 The reality is to understand NATO you have to
7 understand the language. What is the language
8 difference? We talk about levels within the U.S.
9 construct about levels of care and how we provide
10 that. The difference between levels of goals is
11 because it's a financial difference (inaudible) it is
12 a modern hospital. It has brick and mortar. It is
13 funded by the British government absolutely. It has
14 laboratory capabilities. It has X-ray capabilities
15 that is portable and digital so that they can be read
16 remotely. It has modern trauma bays or a trauma
17 system -- wherever you go. But again, the funding
18 for this comes from the British government. What is
19 the problem with this hospital? Why am I bringing it
20 to your attention? The problem is (inaudible) you saw
21 was that there were five different countries' gears.
22 Why is it a problem? It's not a problem until you try

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 to provide power to it, you try to provide an adapter
2 to it, you hook an oxygen supply up, it becomes a
3 difference.
4 This was prophetic. I dropped off some casualties at
5 this Kandahar Role 3 and I got this picture here and
6 it tells the story. What does it tell the story of?
7 It tells us that there are different countries that
8 take different care of their stretchers. Some clean
9 them, some don't. What you really should see in this
10 slide is that there are only two of those stretchers
11 that will fit in my MRAPs, that will fit in my
12 helicopters. That's the big issue.
13 Then when you talk about purple, you hear purple, your
14 tri-service, your DoD. We in the military have
15 problems with that at times. I'm a Naval Academy
16 graduate and I'm a USUHS graduate. I have a lot of
17 reasons to hate the Army. So every time I've worked
18 with them I've felt like there was a service issue, so
19 we did our very best. In March 2008 up in Kulat and
20 Zabul Provinces, the Army was doing one heck of a job.
21 Unfortunately, they were taking massive casualties.
22 When they were taking those casualties, they were

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 having to over fly the FST, the forward surgical team.
2 The reason they were having to do that is because they
3 had no holding capability there. I had a shock trauma
4 platoon that wasn't currently engaged. It only made
5 common sense to put me there, provide them a triage
6 capability and provide them a holding capability. I
7 knew what I was going to do and my boss said go do it,
8 get it done. Being a planner, I thought to myself I
9 came up with about 4 hours of my life that I'll never
10 get planning on how I was going to deal with the Army,
11 how I wasn't going to end up with a price tag at the
12 end of the day. That all went out the door when we
13 landed because there were six dead bodies laying next
14 to the LX and there were five they were working on
15 inside. Ladies and gentlemen, we dropped our bags and
16 we went to work. For 2 weeks we worked tirelessly and
17 we worked seamlessly. We can do this. What you're
18 doing works. It's just a matter of making it happen.
19 And we do have an Air Force hospital. It is
20 everything you would expect from the Air Force except
21 for the golf course. Here you have a brick-and-mortar
22 structure. In fact, it is very well staffed and very

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 well thought out. The reality of the limitations of
2 this hospital is the fact that it is covered up in
3 Afghan nationals because we have no place to take
4 them. If they are hurt in the ravages of the war we
5 want to take care of them. This comes to the ethical
6 question, what do we do with them at that point?
7 It doesn't take you long in Afghanistan to understand
8 that time and distance is the mode of all evil. If
9 you have a severely injured patient in Afghanistan and
10 you try to do a ground support, two things are going
11 to happen. Your patient is going to die because of
12 the length required, and you're going to blow up.
13 It's not if. It's you're going to. So it becomes a
14 necessity to fly most casualty evacuations. We have a
15 premise about the Golden Hour which I'll get into, but
16 if you look at those time distances, and those are
17 one-ways which is the reality of 2008. This has been
18 corrected and it is very much more robust at this
19 point, but it is a problem.
20 The reality of IEDs is that if you take August 2007
21 and look at the number of IED injuries in Afghanistan
22 and you compare it to August 2008, they went up

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 sixteenfold. I don't have the numbers for this year,
2 but I know they went up. The reality of an IED attack
3 is that it's an asymmetric attack. It is the chosen
4 limiting factor of our opponent and the reality is
5 that we're going to get three surgical patients from
6 that single IED attack. Armed with this information
7 and the information that no matter what you think of
8 when you close your eyes and you think of trauma, you
9 think it's trauma, it's going to personnel and
10 equipment intensive in order to do it right.
11 I knew that going into this. I knew that I was going
12 to get these three victims at least from an IED
13 attack. I was familiar with Dr. Cowley's on the
14 Golden Hour. The unfortunate part about the Golden
15 Hour is it has been used as a moniker versus reality.
16 The reality is that a trauma patient is a continuum.
17 When you treat a trauma patient, they may not have an
18 hour, they have minutes and we'll talk a little bit
19 about that.
20 The reality in combat is that 75 percent of people who
21 die in combat, die almost immediately and there's
22 almost nothing I can do. If I wound you in an

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 operating room, if I shoot you through the head, there
2 is not anything I can do to repair that. It's the
3 other 25 percent that we're concerned about. Of that
4 25 percent, there's about an 8 percent that I know me
5 as an emergency physician cannot correct without a
6 surgeon. But that leaves me 18 percent that I could,
7 and I was put in a situation where my surgeons were
8 going to be 2, 3 to 4 hours away at the time and we
9 were trying to battle that. My first reality was that
10 I had to take first of that first
11 minutes, the Platinum 10 Minutes, if you will,
12 catastrophic hemorrhage control, airway, tension
13 pneumothorax. So I came up with a system to try to
14 get my medical assets within 10 minutes of the line.
15 My first reality was that we didn't have particular
16 CASEVAC vehicles. We had the CAT2 MRAPs and I was
17 very happy when the supply officer came to me and he
18 said, "I have your ambulance package for the Cat 2
19 MRAPs." I looked at him askance. I'm looking behind
20 for a truck and he's carrying a box. In reality, back
21 here in the States to somebody this made sense. This
22 made sense to be able to turn that MRAP into an

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 ambulance, that you would take the stanchions that you
2 see and be able to put them up. The reality is, does
3 anybody believe that you could provide trauma care in
4 that space? No, you could not. We have fixed that.
5 We have developed an ambulance version of this that is
6 currently deployed in Afghanistan and Iraq that works
7 very, very well.

8 My next reality was that I'm a Navy doctor. I go with
9 the Marine Corps. We provide ourselves in
10 resuscitative medicine. We put our tents and we go
11 down range and we do it and we it fast, we drill to
12 the point we need to be able to get it up in an hour
13 to provide that surgical care. The Taliban did not
14 like my tents. They shot in 107 rockets and they
15 burnt me to the ground. I'm not an overly bright guy,
16 but that was an experience that I wanted to live
17 through again.

18 The reality was that if I were going to treat these
19 victims in that first 10 minutes and I had to be
20 provide that care and I had to be able to provide
21 stabilization for that 2 to 3 hours that I know I was
22 going to, I had to come up with a different system.

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 That system was what's called a tactical trauma team
2 because I had a paucity of assets so I had to be able
3 to move that out. What was my least-common
4 denominator to provide effective combat care? In my
5 reality that was half of a shock trauma platoon. That
6 was an ER physician, an ER critical-care nurse, a PA
7 or an IDC and some highly trained corpsmen. How I was
8 going to do that was I took a CONEX box off of a
9 ton. I hooked up an air conditioning system to it. I
10 gave it a generator. We traded 3 pounds of good
11 American Starbucks coffee and got some welding done
12 and we put up some stanchions. Why was this
13 capability important? It was important because I had
14 to be able to get to that first
15 minutes, but I had to do it safely. We lined this
16 with Kevlar blankets and off we went.
17 The reality was that I could go with the Marines where
18 they were going. I could set up immediately. I could
19 treat that first trauma care immediately up on there,
20 and I could do it safely in an austere environment.
21 Why is that important? It's important because what
22 you see there in the yellow dot is the first times the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Marines have fixed bayonets since World War II and
2 went to hand-to-hand combat and we're 300 yards away.
3 Why was that important? That was important because
4 this village was in the middle of an IED field. There
5 was no way in and no way out. I had to provide it to
6 the point of care. I was tucked behind a big wall to
7 provide that care, but I was within 300 yards of the
8 fight. The reality was it took about 2 seconds for me
9 to get my first patient. My first patient was shot
10 through the buttocks. He was awake, he was happy, he
11 said, "Doc, this is going to be a bar story. Let me
12 tell you where I was shot," and off we went. But the
13 reality was that he was hurt a lot more gravely than
14 he thought he was. In essence, the bullet had tumbled
15 off of his acetabulum and it ended up in his liver and
16 I was able to tell that because I was able to do that
17 testing at the point of contact. That changed that
18 young man's care drastically.
19 The reality was that I was taking very young men with
20 minimal training, 8 to 16 weeks of training, and
21 putting them in a combat environment of a full trauma
22 center. This is why TCCC is so important. This is

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 why we have to get it right. The reality was that
2 we're going to take care of these gravely injured
3 patients. These are patients with trauma scores
4 greater than 16. That doesn't mean anything to you.
5 What it means is they should die. They should not
6 survive their injuries. The reality means that we
7 have to provide clinical essence of what to do. Do I
8 put an airway in this guy or do I hold on to what I've
9 got because he's maintaining his airway and I have to
10 hypotensively resuscitate him because he's going to be
11 prolonged from surgical care which was the right
12 answer. But me as a trauma physician for 15 years, it
13 took everything I had not to intubate this guy before
14 I put him on a plane. The reality was is that what I
15 had was a system that we had to be able to provide
16 that up-close care. The reality was when I got an
17 injury we would roll in an MRAP. That was the
18 best-protected vehicle that I had. I would provide
19 care as we moved all the way back to the mobile trauma
20 bay that was within that 10 to 15 minutes. We would
21 be able to provide that trauma support right from the
22 point of injury out. When we got to the mobile trauma

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 bay, that was in a safe location that was protected
2 that allowed me to provide that continued emergent ICE
3 resuscitative care at the point of contact.
4 Case in point. This is Corporal Nickels. Corporal
5 Nickels was shot on August 8 of last year. He was
6 shot from zone 1 to zone 3 of his neck. It went into
7 the base of his neck and came out the opposite side in
8 his jaw. His trachea was severed, and I got to him
9 within 2 minutes. In there we were able to use
10 cutting-edge resuscitative care to provide a way of
11 providing a tourniquet, if you will, i.e., an
12 endotracheal tube, which was placed between the two
13 ends of his trachea. How was that done? That was
14 done because I had a video laryngoscope. I had a
15 video laryngoscope that had just come out. It came
16 out in March 2008. I saw it at a trade show. I
17 called the owner of the company at home and said, sir,
18 I want to buy one of these before I go to Afghanistan.
19 I think it might be important. Let me write you a
20 check and pick one up. He said, "Doc, God bless you.
21 I'm a retired Marine. I'll meet you in the parking
22 lot at Twenty-nine Palms in 10 minutes and I'm going to

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 give you one," and he did, and it provided that care.
2 Our system has to allow for resuscitative care to stay
3 at the pointy end of the spear in advances.
4 Corporal Nickels had a good outcome. We got him
5 splinted him and we got him stabilized, and 3 hours
6 later we were able to get him out in a helicopter.
7 Within 48 hours, our system has advanced so much that
8 he was back here at Bethesda and he got amazing care.
9 He got care that was so good that he was able to
10 speak, walk and talk, in fact, he did it well enough
11 to start dating a Redskins cheerleader. It wasn't
12 until Colonel North came to the FOB at Nalzac that I
13 realized what we were in. This was my fifth combat
14 tour, but I still didn't have a good appreciation for
15 the 8-1/2 months we took fire every day. I was
16 sitting there and a 107 rocket came over and exploded
17 and it was nowhere near us, and Colonel North and
18 looked at me and he said, "Doc, you know I was Cason,
19 Vietnam. I was awarded the Silver Star and a Bronze
20 Star. It was a horrible place." I said, yes, sir,
21 I've read your book. Another one comes in and it
22 lands and he says, "Doc, I was at Fallujah. For 11 it

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 was living hell." I said, yes, sir, I lived through
2 it. Another one came in and he said, "Doc, I've never
3 seen a place like this." That put it into reality,
4 ladies and gentlemen, about what we're doing in
5 Afghanistan.
6 Adaptive resuscitative medical efforts. When you look
7 at what my jalopy was, and that's what they called it,
8 they called it, and Oopty was the call sign for my
9 mobile trauma bay. I took offense but I understood
10 it. There had to be a better way. The reality was
11 that before I left country we drew up plans and we
12 provided a more armored capability. This was an
13 armored personnel carrier that we put a top on and
14 provided resuscitative care. It was better. But it
15 wasn't good enough. I came back and I briefed the
16 Commandant of the Marine Corps on this asset. I
17 briefed and Admiral and the Joints Chiefs of Staff.
18 Ladies and gentlemen, your DoD in 4 months' timeframe
19 from the time I gave the brief, this was produced in 4
20 months from start to finish. This I would submit to
21 you is the most-advanced resuscitative care that is
22 out there. This has everything that a trauma bay of

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 modern-day 2009 can provide at the point of impact.
2 In fact, on August 31 it went to combat and has done
3 remarkably well. We have nine of these currently in
4 combat. I would submit to you and submit to this
5 board that if we can do it in 4 months for this, we
6 can do a lot of things on this fast track that you
7 discussed today so eloquently.
8 The reality is that our combat training, whether it's
9 TCCC, whether it's getting that effort of
10 understanding what our people are seeing in what
11 environment. Ladies and gentlemen, I had two
12 confirmed kills protecting my patient the first month
13 I was in Afghanistan in 2008. I'm not proud of that
14 fact, but it's the reality of what we do. It has to
15 be. We have to understand our training processes to
16 the point that we cannot put our ladies and gentlemen
17 that we train in harm's way where they're using their
18 heart and not their head. It has to be engrained.
19 That culture has to change. Case in point. On June
20 23, I had 23 major casualties that I took care of that
21 day. Unfortunately, I lost two of my corpsmen that
22 day. The reason I lost my second corpsman was because

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 I trained him for 2 years, I taught him better, but
2 his heart took over. We had trained him that when he
3 hears "call corpsman up" that he runs to the
4 battlefield and he takes care of his buddy who is
5 down. He takes care of the quad amputee Marine who
6 was laying on the ground next to him. But
7 unfortunately, a bomb blast blows up behind him as
8 you'll see here and he loses situational awareness and
9 goes around the sweeper that was leading him to his
10 patients. This is very hard to watch. (Video
11 played.) -- believes that this is important because he
12 doesn't want this to happen to anybody else. He sees
13 his patient. He's trying to get there. He's doing
14 what he's supposed to do, staying behind the sweeper
15 taking sniper fire. He's moving forward until they
16 start screaming "corpsman up" and he takes off to go
17 see the patient. He lost his legs. The guy behind
18 him lost his life. It's not pretty to see, but it's
19 the reality of the decisions you make a day-to-day
20 basis are so important to us.
21 I was appointed to the Grey Team which went in and
22 looked at traumatic brain injury. It has been called

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the sentinel illness of his war. I'm the fat guy that
2 got blown up and sustained a traumatic brain injury
3 which is why folks like to hear my viewpoint on
4 it. Folks, I will tell you that being blown up, I was
5 a college football player, I was concussed. When I
6 got blown up it is a very different event. It is a
7 very different event. I can tell you that 95 percent
8 of the patients that I treated in country were for
9 traumatic brain injury are straight shooters. They
10 understand exactly what happened to them, it was
11 witnessed, they have a particular set of symptoms.
12 Everybody wants to get up tight about the other 5
13 percent because there are two sets of liars. The
14 first set, about half of that 5 percent, is my First
15 Sergeant who comes back with his 1st Lieutenant and he
16 helped me put him in the body bag. He had been blown
17 up and then the sniper had taken him down. The
18 corpsman who was with him said, "Doc, the First
19 Sergeant was out for about 5 to 8 minutes. He's been
20 blowing chow. He can barely walk, but he's starting
21 to clear and I think he's going to be good to go."
22 The First Sergeant looked me dead in the eye and said,

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 "Doc, I was not knocked out. I'm fine. I'm going to
2 go kill the guy who killed my lieutenant." I want 100
3 of him. I love that man. He's a hero. But I had to
4 protect him from him. The other 2 percent are the
5 ones who people want to talk about. They want to talk
6 about the Lance Corporal who was sitting in a truck 50
7 yards from a blast, I had five corpsmen between him
8 and the blast, and he says, "I got knocked out."
9 Folks, I don't care about him. I care very much about
10 his medical care, but I don't care about figuring who
11 he is. Let's just take care of him and move on. He's
12 served his country. Let's just take care of him, move
13 on and not get into what happened to him there because
14 I can't explain it.
15 What can I explain about traumatic brain injury? I
16 can explain that our education levels have to go up.
17 We found that across the FOBs. We have to be able to
18 get away much like TCCC, much like a panel like this
19 endorsing those guidelines, to get them out there, and
20 get them out there with authority, so that that
21 education process has to happen. The next thing is we
22 have to symmetry. You're right, sir, whoever

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 mentioned that earlier. The symmetry has to be there.
2 I can tell you that DARPA is lit up on it, the Joint
3 Chiefs of Staff, right from Admiral Mullens's mouth,
4 this will happen and it will happen in the next 6
5 months. What was that mean though? Then we have to
6 figure out what the diagnosis is because quite frankly
7 we don't understand the disease, so we're getting
8 there. We have our best and we have our brightest,
9 guys like Colonel Macedonia or Colonel Jaffe, they're
10 all in it and they're in it heads up, Brigadier
11 General Sutton. Then we have to figure out the
12 treatment process, what do we do, when do we do it?
13 Do we hypo resuscitate this particular population?
14 What happens when it's cold? What happens when we're
15 at 10,000 feet? Those are all things that we have to
16 answer. A Board like this can govern what that
17 research is going to look like not only ethically but
18 finance it.
19 Ladies and gentlemen, I would submit to you that no
20 matter what we do, when you go to combat it's going to
21 have a cost. It's going to have a cost whether you
22 have post-traumatic stress disorder, you come home

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 without your legs or you come home with problems from
2 a traumatic brain injury. Somebody's going to pay the
3 cost. Nobody ever comes back from a conflict the way
4 they left. It's just a reality. But when it's the
5 deepest, darkest day in D.C. And you're up into this
6 quagmire of what you do on a day- to-day basis and you
7 think I got to go through one more brief, what I would
8 ask you is to remember this, these gentleman on June
9 23 are fighting for their lives and through your
10 efforts I stand humbly before you and submit that I
11 think what your work does is these same two gentlemen
12 on January 17 in Las Vegas standing on prostheses
13 alive and well.

14 Thank you. I appreciate the honor of the podium. I
15 hope it was instructional. I will take any questions
16 you might have.

17 DR. LEDNAR: Commander Hancock, thank
18 you very much for the presentation, the heroism
19 and innovation that you bring to our Marines and
20 to our soldiers in the field. We really stand in
21 awe of you. Thank you. Are there any questions
22 or comments for Commander Hancock?

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 CDR HANCOCK: Thank you. I know
2 it's late. I appreciate your attention.

3 DR. LEDNAR: Thank you, Commander
4 Hancock. Our last agenda item, we appreciate Dr.
5 Scoville being patient with us. Dr. Charles
6 Scoville is a retired colonel and Chief, Amputee
7 Patient Care Service at the Military Advanced
8 Training Center at Walter Reed. He served for 29
9 years in the Army, with his most recent assignment
10 prior to retiring in October 2003 as Chief,
11 Physical Therapist Section, Army Medical
12 Specialist Corps, and Consultant to the Surgeon
13 General, U.S. Army. Dr. Scoville is a graduate of
14 the Army War College. His awards include the
15 Legion of Merit, Meritorious Service Medal and the
16 Army Commendation Medal. He has also been awarded
17 the "A" Proficiency Designator, military
18 recognition for outstanding qualifications in
19 physical therapy and continued demonstration of
20 exceptional professional ability, as well as the
21 "8Z" Proficiency Designator, the military
22 recognition for demonstration of exceptional

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 professional achievement in the design, conduct
2 and publication of clinically relevant medical
3 research. Dr. Scoville's presentation slides may
4 be found under Tab 15. Dr. Scoville, thank you
5 for joining us.

6 DR. SCOVILLE: Thank you. I'm here
7 representing General Franks and the Panel for the
8 Care of Individuals With Amputations and
9 Functional Limb Loss. I will briefly go over the
10 Subcommittee membership, what we had covered in
11 our June meeting and what we plan to look at in
12 our next meeting.

13 Our membership has dropped off
14 significantly because of individuals who have
15 either been assigned into positions where there is
16 a conflict with this or family members have been
17 assigned into positions that conflict with our
18 Committee. So we have dropped down currently to
19 six active members and we have nominated a number
20 of individuals to replace those who have left our
21 Committee.

22 Our last meeting was held in San Diego

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 Naval Medical Center. They have opened the C5
2 which is the Comprehensive Combat Casualty Care
3 Center. We provide care for individuals with limb
4 loss and traumatic brain injury at that center.
5 We discussed the VA DoD Center of Excellence
6 requirement from NDAA 2009. We did an update of
7 the amputee program looking at the numbers and the
8 trends we have seen over the years with the
9 program. Dr. Ficke discussed the Army's approach
10 to hand transplantation as this has advanced and
11 the capabilities are now available. We have
12 several Service members who are interested in hand
13 transplantation, so we looked at how we would be
14 approaching that and making sure that the Service
15 members were well educated as they entered into
16 this process.

17 We looked at the Task Force sustainment
18 of amputee patient care and what will we do to
19 keep the skill sets that we've learned? In this
20 current conflict it took us a year to get our
21 staff really up to the level of performance with
22 the tactical athlete population that we're dealing

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 with. We were very skilled at taking care of the
2 dysvascular tumors but not the high-end
3 rehabilitation that our service members deserved.
4 We looked at the clinical competencies and
5 financial support as we looked toward the
6 sustainment and discussed the need or the
7 requirement to go forward and get CARF
8 accreditation, the Committee for Accreditation of
9 Rehab Facilities, for our facilities and also the
10 accreditation of our prosthetic labs which is
11 something that the VA has been doing.

12 We have a meeting scheduled for December
13 1 which will take place at Walter Reed. Joe
14 Miller who is our lead prosthetist will be talking
15 about the latest advances in prosthetics and how
16 we will look at the population distribution of the
17 prosthetics. As we get new items and they first
18 come on the market, they come on the market in
19 very low quantities. So Power Knee which we are
20 now using are producing about 10 every 2 months,
21 so how do you select which are the patients who
22 would get the latest technology? We're looking at

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 the research advances, and what we've been doing
2 at the Intrepid Center at Military Advanced
3 Training Center at Walter Reed and out at the C5,
4 and will again do an update on the numbers and
5 distribution of the patients. We have recently
6 received funding through a joint incentive fund to
7 bring DCVA and Walter Reed together in amputee
8 patient care. Dr. B.J. Randolph is a retired
9 colonel who now works for the VA will be
10 presenting that. I will look at the role of the
11 military in coalition military missions as to
12 whether there is a requirement for uniform
13 prosthetists and then some of the DoD to VA
14 transition timelines. We've been involved with
15 missions with a number of countries' coalition
16 forces. We've sent teams into Iraq, into Sri
17 Lanka and we've gone to Colombia every year.
18 We've been into Pakistan and we're preparing for
19 another mission into Pakistan. I talked with our
20 Surgeon General's foreign consultant the other day
21 and China has asked for some assistance there so
22 that there are a number of those missions coming

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 up.

2 Currently we have used Joe Miller who is
3 a reserve officer to assist in those missions.
4 That's the one prosthetic military asset that we
5 have.

6 DR. LEDNAR: Thank you, Dr. Scoville.
7 Are there questions for Dr. Scoville? Dr. Silva?

8 DR. SILVA: Thank you. That's wonderful
9 work. Of course, the war is moving on and the
10 number of amputees I understand have diminished to
11 what degree since a year ago?

12 DR. SCOVILLE: In the last 6 months we
13 have the same number of amputees as we had had the
14 similar 6 months a year ago. The shift has been
15 in the last 6 months we have had no amputees from
16 Iraq, so 1 year ago we had 24 amputees, 12 were
17 from the Iraqi conflict and 12 were from the
18 Afghan conflict. This year in the past 6 months
19 we've had 26 individuals with major limb loss and
20 all of them were from Afghanistan.

21 DR. LEDNAR: Are there other questions
22 for Dr. Scoville? I know an issue that you've

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 been thinking about, although what you've just
2 said makes me change what I was going to say, and
3 that is as we were watching the experience in Iraq
4 over time, the number of soldiers experiencing
5 traumatic limb loss was going down and that's
6 wonderful. The question was how we maintain and
7 retain in a sustainable way the skills and the
8 rehabilitative effectiveness that's been developed
9 around the need for care for soldiers in this war?
10 Afghanistan is again unfortunately generating more
11 amputee cases to take care of, but at some point
12 we hope that there will be a reduction in the
13 number of the new patients with limb loss. The
14 challenge will be how do we hold onto, how do we
15 retain that terrific capability that has been
16 developed over the last 8 to 10 years going
17 forward? I'm not looking for answer now, but
18 clearly it's important.

19 DR. SCOVILLE: We've looked at this.
20 For a while following the end of hostilities we
21 will continue to have a patient population. We've
22 had over 110 individuals of the 938 who have had

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 major limb loss who have had their amputations
2 done up to 5 years after their initial injury.
3 The average time is about 2 years. So they've had
4 a limb salvage which has been successful, but the
5 patient does not have the functional level they
6 desire so they elect to have an amputation to be
7 able to increase their functional activities.
8 That will provide some.

9 If you look at the data prior to this
10 conflict, we were averaging within the DoD over
11 100 individuals a year through motor vehicle
12 accidents, training accidents, tumors and other
13 situations who had major limb loss. Prior to this
14 conflict, we would provide initial care for those
15 individuals and they would be discharged from the
16 service. As a result of our successes with
17 patient care, we've had over 130 individuals
18 returned to active duty, over 40 of those have
19 redeployed back into theater with prosthetic
20 devices, and we are now maintaining people on
21 active duty with limb loss, so that population
22 with the motor vehicle accidents, training

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 accidents and such would be engaged in care
2 through the military for a longer period of time
3 looking at their potential to return to service.

4 DR. LEDNAR: That's a great success
5 story. Dr. Parkinson?

6 DR. PARKINSON: It dawns on me that we
7 often times look internally as in Wayne's question
8 about sustaining our internal capability in DoD.
9 All of our activities like so many things that DoD
10 develops have huge implications for the civilian
11 population and I wonder with the global epidemic
12 of landmines all of which will never be removed,
13 the global epidemic of amputation injuries due to
14 those landmines and a global epidemic of Type II
15 diabetes which now be complication-related
16 amputations, frankly, we're on the cusp of that,
17 if there shouldn't be some exploration in your
18 committee of international ties, alliances, fund
19 raising, whatever? That's a little off scope
20 maybe or maybe you've already talked about that.
21 It's just a question.

22 DR. SCOVILLE: We have done that. As I

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 say, we've been down to Colombia, into Bogota and
2 Medellin every year for the past 5 years. We've
3 gone in for anywhere from 4 days to 2 weeks. They
4 are getting two new amputees a day between their
5 fighting with the FARC and their fighting with the
6 drug cartels down there. We've gone to Sri Lanka
7 and worked with them. The potential is there. In
8 our current situation we're relying all on
9 civilian prosthetists to provide our prosthetic
10 care. So without military assets, we are
11 stretched on what we can accept as an additional
12 mission and on capabilities.

13 DR. PARKINSON: Perhaps it's more over a
14 cocktail conversation sometime than a formal
15 mission, but there are national private voluntary
16 organizations and humanitarian organizations that
17 might be looking for a niche or a cause. While
18 it's not in your mission, rather than going
19 country to country it might be that the equivalent
20 is the Eliminate Polio Rotary Club initiative or a
21 thing like that. It's not DoD mission related,
22 but it certainly is an application and it would be

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 a tremendous application of what we've done.

2 DR. SCOVILLE: And we've also looked at
3 using the Institute of Surgical Research in burn
4 care and how we care for the civilian population
5 in times where we're not at war to maintain our
6 skill set. So we are looking. We have a task
7 force looking at sustainment and figuring out what
8 are the best ways to address this in the future.

9 DR. LEDNAR: Are there any other
10 questions or comments or Dr. Scoville? If not,
11 Dr. Scoville, thank you very much for that update
12 and we look forward to hearing from you again.
13 Thank you. Commander Feeks, if you would, please.
14 What Commander Feeks and I are doing is huddling,
15 and that is, Dr. Butler has been working hard
16 since he left the podium to give us something to
17 look at, and if Dr. Butler, you would explain to
18 us and introduce this document that has just been
19 given to us, please.

20 DR. BUTLER: The document that you just
21 received the exact same document that's on the
22 Health Affairs website except for the part in the

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 box which is the new treatments recommended for
2 burn therapy so that is the part in the box that
3 is the subject of the vote. As you look through
4 the guidelines, what we have there is here's what
5 you do for training combat medics. There are
6 1,000 slides that go along with this because it
7 takes a little bit more than just giving them a
8 document and saying do this to train them. This
9 is in outline form what we recommend for
10 battlefield trauma care supported by the PHTLS
11 chapters in the military version of the
12 "Prehospital Trauma Life Support" manual and by
13 the training curriculum used by all the services
14 that's also on the Health Affairs website.

15 DR. LEDNAR: So that the boxed material
16 identifies the content that goes with the
17 recommendation. Again to Dr. Shamoo's advice to
18 us which is good, and that is if we can with the
19 help of the staff pull this together into a
20 document that should be fairly straightforward to
21 prepare that includes this content material
22 relative to the recommendation that is then

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1 circulated to the Core Board and we can rapidly
2 turn it around and get it back and let you know.
3 Dr. Silva?

4 DR. SILVA: I know the hour is late and
5 we're getting ready to leave, but quite frankly as
6 an internist, it would take a month to have done
7 what the surgeon has done here in about a
8 half-hour.

9 DR. LEDNAR: Our gratitude, Dr. Butler,
10 to our heroics. We should be behaving more like
11 surgeons and trauma specialists than some of us
12 do. Thank you for that.

13 At this point this concludes our
14 business and I'd at this point turn to Mr.
15 Middleton as our Designated Federal Official and
16 ask if he would adjourn the meeting. Mr.
17 Middleton?

18 MR. MIDDLETON: Thank you, Dr. Lednar.
19 This meeting of the Defense Health Board is
20 adjourned.

21 (Whereupon, at 1:00 p.m., the
22 PROCEEDINGS were adjourned.)

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

* * * * *

ANDERSON COURT REPORTING
706 Duke Street, Suite 100
Alexandria, VA 22314
Phone (703) 519-7180 Fax (703) 519-7190

1 CERTIFICATE OF NOTARY PUBLIC

2 I, Carleton J. Anderson, III do hereby
3 certify that the forgoing electronic file when
4 originally transmitted was reduced to text at my
5 direction; that said transcript is a true record
6 of the proceedings therein referenced; that I am
7 neither counsel for, related to, nor employed by
8 any of the parties to the action in which these
9 proceedings were taken; and, furthermore, that I
10 am neither a relative or employee of any attorney
11 or counsel employed by the parties hereto, nor
12 financially or otherwise interested in the outcome
13 of this action.

14 /s/Carleton J. Anderson, III

15

16

17 Notary Public in and for the

18 Commonwealth of Virginia

19 Commission No. 351998

20 Expires: November 30, 2012

21

22

ANDERSON COURT REPORTING

706 Duke Street, Suite 100

Alexandria, VA 22314

Phone (703) 519-7180 Fax (703) 519-7190